

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: CIRM
1999 HARRISON STREET, SUITE 1650
OAKLAND, CALIFORNIA

DATE: FEBRUARY 6, 2020
10 A.M.

REPORTER: BETH C. DRAIN, CA CSR
CSR. NO. 7152

FILE NO.: 2020-05

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION:	
CALL TO ORDER.	3
PLEDGE OF ALLEGIANCE.	3
ROLL CALL.	3
CHAIRMAN'S REPORT.	5
PRESIDENT'S REPORT:	
UPDATE ON CIRM'S CLINICAL PROGRAM	59
UPDATE ON CIRM'S CAP'S AND TAP'S	91, 104
UPDATE ON CIRM'S EDUCATION PROGRAMS	113
STRATEGIC PLAN THEMES	130
ACTION ITEM:	
CONSIDERATION OF ALLOCATION OF RECOVERED FUNDS TO RESEARCH PROGRAMS.	34
DISCUSSION ITEMS:	
INDUSTRY UPDATE - POSTPONED UNTIL MAY 2020.	
PUBLIC COMMENT.	NONE
ADJOURNMENT.	156

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

FEBRUARY 6, 2020; 10 A.M.

CHAIRMAN THOMAS: MORNING, EVERYBODY, HIGH ATOP 1999 HARRISON. IT IS A GORGEOUS DAY IN OAKLAND. I'D LIKE TO WELCOME EVERYBODY AND CALL THIS MEETING TO ORDER. MARIA, WILL YOU PLEASE LEAD US IN THE PLEDGE OF ALLEGIANCE.

(THE PLEDGE OF ALLEGIANCE.)

CHAIRMAN THOMAS: THANK YOU. MARIA, WILL YOU PLEASE CALL THE ROLL.

MS. BONNEVILLE: GEORGE BLUMENTHAL.

DR. BLUMENTHAL: HERE.

MS. BONNEVILLE: LINDA BOXER. KEN BURTIS. DEBORAH DEAS.

DR. DEAS: HERE.

MS. BONNEVILLE: ANNE-MARIE DULIEGE. YSABEL DURON.

MS. DURON: HERE.

MS. BONNEVILLE: LEON FINE.

DR. FINE: HERE.

MS. BONNEVILLE: JUDY GASSON.

DR. GASSON: HERE.

MS. BONNEVILLE: DAVID HIGGINS.

DR. HIGGINS: HERE.

MS. BONNEVILLE: STEVE JUELSGAARD.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. JUELSGAARD: HERE.
2 MS. BONNEVILLE: DAVE MARTIN.
3 DR. MARTIN: HERE.
4 MS. BONNEVILLE: LAUREN MILLER. ADRIANA
5 PADILLA.
6 DR. PADILLA: YES.
7 MS. BONNEVILLE: JOE PANETTA. FRANCISCO
8 PRIETO.
9 DR. PRIETO: PRESENT.
10 MS. BONNEVILLE: ROBERT QUINT. AL
11 ROWLETT. SUZANNE SANDMEYER.
12 DR. SANDMEYER: YES.
13 MS. BONNEVILLE: JEFF SHEEHY. OS STEWARD.
14 JONATHAN THOMAS.
15 CHAIRMAN THOMAS: HERE.
16 MS. BONNEVILLE: ART TORRES.
17 MR. TORRES: HERE.
18 MS. BONNEVILLE: CARL WARE.
19 DR. WARE: HERE.
20 MS. BONNEVILLE: DIANNE WINOKUR.
21 MS. WINOKUR: HERE.
22 MS. BONNEVILLE: KEITH YAMAMOTO.
23 DR. YAMAMOTO: HERE.
24 MS. BONNEVILLE: DOUG ZIEDONIS.
25 DR. ZIEDONIS: I'M HERE. ALSO I'M ON THE

BETH C. DRAIN, CA CSR NO. 7152

1 LINE AND IT'S HARD TO HEAR YOU.

2 MS. BONNEVILLE: I WAS CLEARLY NOT
3 SPEAKING INTO THE MIC. SORRY ABOUT THAT. THANK
4 YOU.

5 CHAIRMAN THOMAS: THANK YOU, MARIA. SO
6 THE ORDER TODAY, WE'RE GOING TO START WITH THE
7 CHAIR'S REPORT. FOLLOWING THAT WE'RE GOING TO SKIP
8 TO THE ACTION ITEM NO. 6 JUST TO MAKE SURE WE HAVE
9 EVERYBODY AVAILABLE FOR THAT DISCUSSION. AND THEN
10 WE'LL PROCEED TO THE PRESIDENT'S REPORT AFTER THAT
11 ITEM.

12 SO STARTING THE CHAIR'S REPORT, TO BEGIN,
13 WOULD LIKE TO INTRODUCE TO YOU OUR NEWEST MEMBER OF
14 THE ICOC, WHICH IS YSABEL DURON, WHO IS OUR NEW
15 PATIENT ADVOCATE FOR CANCER, REPLACING LONGTIME AND
16 ORIGINAL BOARD MEMBER SHERRY LANSING. YSABEL, COULD
17 YOU PLEASE SAY A FEW WORDS ABOUT YOUR BACKGROUND TO
18 THE BOARD?

19 MS. DURON: THANK YOU VERY MUCH, JONATHAN.
20 IT IS A PLEASURE TO BE HERE WITH ALL OF YOU. IT'S
21 AN HONOR TO SERVE ON THIS ESTEEMED ORGANIZATION.
22 AND I WANT TO THANK ART FOR DRAGGING ME IN.

23 I AM BY TRAINING FOR 43 YEARS A
24 JOURNALIST, TELEVISION, BROADCASTING, BUT I AM A
25 SCIENTIST AT HEART. WE DO BELIEVE IN DATA AND

BETH C. DRAIN, CA CSR NO. 7152

1 FACTS. AND IN THE LAST 20 YEARS, MUCH OF MY FOCUS
2 HAS BEEN ON HEALTH SINCE I WAS DIAGNOSED MYSELF WITH
3 CANCER, HODGKIN'S LYMPHOMA, AT THE AGE OF 50. AND
4 THAT'S WHEN I STARTED TO LOOK INTERNALLY AT THE
5 LATINO COMMUNITY AND THE IMPACT OF CANCER ON IT AND
6 STARTED RECOGNIZING AND REALIZING PROGRAMS THAT WERE
7 GOING TO ACTUALLY ADDRESS THE BURDEN OF CANCER IN
8 THE LATINO COMMUNITY.

9 AND WHAT I DISCOVERED OVER TIME IS THAT IT
10 IS MORE A SYSTEMS PROBLEM THAN IT IS AS MUCH A
11 SERVICE PROBLEM. AND SO IN THIS PAST 20 YEARS, AS
12 I'VE BUILT SEVERAL ORGANIZATIONS AND CURRENTLY
13 ESTABLISHED THE LATINO CANCER INSTITUTE, MY GOAL HAS
14 ALWAYS BEEN TO MOVE TO BRING THE TWO THINGS TOGETHER
15 SO THAT WE CAN WORK EQUALLY TOWARDS DIMINISHING, IN
16 FACT, ERADICATING THE LATINO CANCER BURDEN, WHICH,
17 IN FACT, IS THE NO. 1 CAUSE OF DEATH OF LATINOS
18 AROUND THIS COUNTRY. AND SINCE THERE ARE ALMOST 60
19 MILLION OF US, WE NEED TO BE VERY PREPARED THAT
20 PERHAPS ONE IN FIVE OF LATINO DEATHS ARE DUE TO
21 CANCER.

22 SO THIS IS NOT SOMETHING OF SMALL CONCERN
23 TO THE COMMUNITY. AND WHEN THEY DON'T HAVE EQUAL
24 ACCESS, WHEN THEY DON'T UNDERSTAND THE LANGUAGE,
25 WHEN THE SYSTEMS THEMSELVES ARE NOT PREPARED TO DEAL

BETH C. DRAIN, CA CSR NO. 7152

1 WITH THE COST OF TREATING SOMEONE AND THEN PROVIDING
2 THE OPPORTUNITIES FOR ADVANCED CANCER TREATMENT,
3 THESE BECOME REAL BARRIERS AND SYSTEMS PROBLEMS. SO
4 THAT'S WHAT I'M WORKING ON NOW.

5 STEM CELLS IS INTEREST -- I SPENT A LOT OF
6 TIME IN THE LAST DECADE WORKING ON PRECISION
7 MEDICINE ISSUES AND STILL TRYING TO WRAP MY HEAD
8 AROUND THAT SCIENCE. SO THIS IS ALSO A NEW TURN FOR
9 ME, BUT I THINK IT ALL ULTIMATELY MEANS THE SAME
10 THING. WE NEED TO ENGAGE COMMUNITY, WE NEED THEM TO
11 BE AWARE OF WHAT'S OUT THERE, WE NEED THEM NOT TO BE
12 AFRAID OF THE SCIENCE, AND WE NEED, AS SCIENTISTS,
13 RESEARCHERS, ALL OF THE OTHER WONDERFUL PEOPLE WHO
14 ARE ENGAGED, WE NEED TO BRING THIS TO THEM AND NOT
15 BE AFRAID TO ENGAGE COMMUNITY. WE CANNOT STAY IN
16 OUR SILOS. WE NEED TO OPEN THIS UP TO EVERYBODY AND
17 MAKE SURE THAT EVERYBODY IS AWARE AND CAN AND WILL
18 TAKE ADVANTAGE, BE SUPPORTIVE, AND ACTUALLY, I
19 THINK, BE SUPPORTED AND HELPED BY ANY NEW ADVANCES
20 IN THIS DISEASE OR IN ANY DISEASES THEY HAVE TO
21 FACE, THEIR FAMILIES HAVE TO FACE, OR THE
22 COMMUNITIES HAVE TO FACE.

23 THANK YOU VERY MUCH, JONATHAN, FOR GIVING
24 ME THE OPPORTUNITY TO SPIEL. I'M KIND OF AN A TO Z
25 CINEMA VERITE KIND OF A PERSON. BE GLAD TO TALK TO

BETH C. DRAIN, CA CSR NO. 7152

1 ALL OF YOU ABOUT ANYTHING.

2 MR. TORRES: I CAN ATTEST TO THAT.

3 CHAIRMAN THOMAS: SENATOR TORRES.

4 MR. TORRES: I JUST WANT TO THANK MY
5 FELLOW CANCER SURVIVOR TO AGREE TO SERVE WITH US.
6 AND I'VE KNOWN YSABEL FOR, GOD, MORE THAN 35 YEARS.
7 AND SHE WAS A TOUGH REPORTER IN THE BAY AREA AND
8 VERY INQUISITIVE, AND CLEARLY SHE'S GOING TO BRING
9 THAT SAME VALUE, SAME DETERMINATION, AND SAME
10 COURAGE YOU'VE SHOWN OVER THE YEARS TO THIS BOARD.
11 SO WELCOME ABOARD.

12 CHAIRMAN THOMAS: THANK YOU. AND
13 LIKEWISE, WE LOOK FORWARD TO A GREAT WORKING
14 RELATIONSHIP GOING FORWARD.

15 MOVING ON, TODAY WILL BE THE FIRST, AS WE
16 TALKED ABOUT AT THE OCTOBER BOARD MEETING, THE FIRST
17 IN A SERIES OF REPORTS BACK TO THE PUBLIC. DR.
18 MILLAN IN HER PRESIDENT'S REPORT HAS A DETAILED
19 REVIEW OF A NUMBER OF ASPECTS OF OUR PROGRAMS AND
20 PORTFOLIO, WHICH SHE WILL BE GIVING SHORTLY.

21 WITH RESPECT TO THE INITIATIVE, AS YOU
22 RECALL, WE'VE BEEN ADMONISHED BY COUNSEL, MR.
23 HARRISON, THAT WE CANNOT ADVOCATE FOR IT AND HAVE TO
24 BE VERY STEADFAST IN THAT. I CAN, HOWEVER,
25 FACTUALLY REPORT A NUMBER OF THINGS WITH RESPECT TO

BETH C. DRAIN, CA CSR NO. 7152

1 IT JUST TO GIVE THE BOARD AN UPDATE ON WHERE WE
2 STAND.

3 FOLLOWING OUR NOVEMBER MEETING WITH
4 MR. KLEIN WHERE WE DISCUSSED THE NEW INITIATIVE IN
5 CONSIDERABLE DETAIL, HE FILED THE FINAL VERSION OF
6 THE MEASURE THE FOLLOWING MONDAY. SINCE THAT TIME,
7 THE ATTORNEY GENERAL'S OFFICE HAS ISSUED WHAT THEY
8 CALL "TITLE AND SUMMARY," WHICH IS SOMETHING THEY DO
9 FOR ALL MEASURES THAT ARE CONSIDERED TO BE PUT ON
10 THE BALLOT. AND THAT TRIGGERED A SIX-MONTH PERIOD
11 FOR BOB TO COLLECT SIGNATURES TO QUALIFY IT FOR THE
12 BALLOT. THE NUMBER OF SIGNATURES IS A FUNCTION OF
13 THE TOTAL VOTE IN THE PREVIOUS GENERAL ELECTION,
14 WHICH WAS THE NOVEMBER 2018 GUBERNATORIAL ELECTION.
15 AND LOOKING AT THAT PARTICULAR VOTE TOTAL, BOB NEEDS
16 623,000 AND CHANGE SIGNATURES TO QUALIFY THE NEW
17 INITIATIVE FOR THE BALLOT.

18 BECAUSE THERE ARE ALWAYS ISSUES WITH THE
19 VALIDITY OF SIGNATURES IN ANY SIGNIFICANT GATHERING
20 EFFORT, IT IS ALWAYS BEST TO EXCEED THE AMOUNT TO
21 MAKE SURE THAT YOU'RE COVERED. AND SO BOB'S GOAL IS
22 TO GET A MILLION SIGNATURES FOR THE INITIATIVE AS A
23 CUSHION, WHICH NUMBER, I BELIEVE, SENATOR TORRES, IS
24 SIMILAR TO WHAT HE GOT FOR PROP 71; IS THAT CORRECT?

25 MR. TORRES: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN THOMAS: THANK YOU. SO HE'S IN
2 THE PROCESS NOW OF SIGNATURE GATHERING. AS MR.
3 JENSEN REPORTED, I BELIEVE, YESTERDAY, IN AN
4 INTERVIEW WITH BOB, HE'S THROUGH 250,000 SIGNATURES
5 TO DATE AND IS CONTINUING ALONG.

6 ONCE YOU REACH THE 25-PERCENT FIGURE OF
7 SIGNATURES NEEDED, THEN THE LEGISLATURE CAN HOLD
8 HEARINGS NO LATER THAN 131 DAYS IN ADVANCE OF THE
9 VOTE, WHICH IS NOVEMBER OBVIOUSLY. AND THE END OF
10 THE PROCESS IS IF THE SIGNATURES ARE COLLECTED AND
11 VERIFIED, THIS INITIATIVE AND ANY OTHER INITIATIVE
12 THAT'S TRYING TO QUALIFY SIMILARLY WILL BE CERTIFIED
13 FOR THE BALLOT BY THE SECRETARY OF STATE. AND IF
14 THAT HAPPENS, WHICH HAS TO HAPPEN AT THE END OF
15 JUNE, JUNE 25TH, THEN THE MEASURE WILL GO ON THE
16 BALLOT IN NOVEMBER. SO THAT IS AN UPDATE ON WHERE
17 THAT STANDS.

18 THE OTHER POINT I WOULD MAKE IS THE BOARD,
19 OF COURSE, HAS TAKEN NO POSITION ON THE INITIATIVE
20 AT THIS POINT. WE, IN DISCUSSIONS WITH MR.
21 HARRISON, HAVE DECIDED TO BRING THE TOPIC OF THE
22 BOARD'S THOUGHTS ON THE MEASURE TO THE MEETING THAT
23 WE WILL BE HAVING IN MAY. SO THAT WILL BE AN AGENDA
24 ITEM FOR THAT BOARD MEETING.

25 ON OTHER FRONTS, ON THE BRIDGE FUNDING

BETH C. DRAIN, CA CSR NO. 7152

1 FRONT, WE HAVE CONTINUED TO HAVE A NUMBER OF
2 DIFFERENT EFFORTS GOING ON. I DO NOT HAVE ANY NEWS
3 TO REPORT ON THAT AT THIS TIME. AT SUCH TIME AS WE
4 DO HAVE SOME POSITIVE NEWS, I WILL BRING IT TO THE
5 BOARD POSTHASTE.

6 MOVING ON NOW, WE HAVE AN AGENDA ITEM ON
7 HERE, MR. PANETTA WAS GOING TO DO AN INDUSTRY
8 UPDATE. HE'S HAD A CONFLICT WITH HIS BOARD DOWN IN
9 SAN DIEGO AND WILL BE JOINING BY PHONE. SO WE HAVE
10 POSTPONED THAT UNTIL THE MAY BOARD MEETING AS WELL.
11 IN ITS PLACE, THERE ARE A FEW STATS I WANTED TO GIVE
12 TO THE BOARD.

13 AS YOU KNOW, A COUPLE WEEKS AGO WE HAD THE
14 JP MORGAN CONFERENCE HERE, WHICH IS THE MAJOR
15 GATHERING OF THE BIOTECH INDUSTRY AND INVESTORS
16 NATIONALLY. AND AT THAT THE ALLIANCE FOR
17 REGENERATIVE MEDICINE ALWAYS GIVES ITS STATE OF THE
18 INDUSTRY TALK TO DISCUSS HOW THINGS HAVE GONE THE
19 PAST YEAR AND WHAT THE TRENDS ARE, ET CETERA.

20 THERE ARE A FEW SLIDES FROM THEIR
21 PRESENTATION I DISTILLED DOWN THAT I THINK WOULD BE
22 OF INTEREST TO THE BOARD, SPEAKING TO THE GENERAL
23 NOTION THAT THE INDUSTRY IS FLOURISHING, THAT
24 RESEARCH CONTINUES TO ACCELERATE AT A DRAMATIC PACE
25 AS REFLECTED BY THE NUMBER OF COMPANIES, THE FUNDS

BETH C. DRAIN, CA CSR NO. 7152

1 RAISED, THE PRODUCTS THAT ARE IN THE PIPELINE IN
2 CLINICAL TRIALS, ET CETERA. SO, DOUG, THIS IS THE
3 SLIDES UP ON THE SCREEN.

4 NOTICE HERE THERE ARE NOW CLOSE TO A
5 THOUSAND REGENERATIVE MEDICINE COMPANIES WORLDWIDE,
6 WHICH IS A VERY IMPRESSIVE STAT. YOU CAN SEE ON THE
7 SCREEN HALF OF THOSE ROUGHLY ARE IN NORTH AMERICA,
8 BUT THEY ARE SPREAD ACROSS A NUMBER OF DIFFERENT
9 CONTINENTS, AND THAT IS REFLECTIVE OF THE FACT THAT
10 THE SPACE CONTINUES TO GROW.

11 OF THE PROJECTS THAT ARE BEING IN CLINICAL
12 TRIALS RIGHT NOW, THEY'RE GETTING ACCELERATED
13 STATUS. YOU SEE 17 OF THOSE ARE GETTING VARIOUS
14 DESIGNATIONS TO ACCELERATE. OVER A THOUSAND
15 CLINICAL TRIALS WORLDWIDE ARE IN PLACE, AND \$9.8
16 BILLION WAS RAISED IN FINANCINGS FOR REGENERATIVE
17 MEDICINE COMPANIES, WHETHER IT WAS PRIVATE EQUITY
18 RAISES OR MERGERS AND ACQUISITIONS OR IPO'S OR
19 WHATEVER IN 2019.

20 SO YOU'VE READ IN THE NEWS THERE HAVE BEEN
21 A NUMBER OF PRODUCTS THAT WERE APPROVED IN 2019.
22 THIS IS A SLIDE REFLECTIVE OF SOME OF THE HIGHER
23 PROFILE PRODUCTS. AND YOU CAN SEE, READING DOWN
24 THERE ON THE RIGHT, WHAT RESPONSE THE TREATMENTS AT
25 ISSUE HAVE GOTTEN FROM THE PATIENTS. IT'S QUITE

BETH C. DRAIN, CA CSR NO. 7152

1 IMPRESSIVE AND REFLECTIVE OF THE SUCCESS OF THOSE
2 COMPANIES.

3 THERE ARE LIKEWISE, IN A VARIETY OF SPACES
4 WITHIN REGENERATIVE MEDICINE, A NUMBER OF COMPANIES
5 THAT ARE ANTICIPATING PRODUCT APPROVALS IN 2020.
6 YOU MIGHT NOTICE A COUPLE FAMILIAR NAMES ON THERE.
7 ONE, FOR EXAMPLE, ORCHARD THERAPEUTICS, WHICH IS THE
8 COMPANY WHICH WAS THE IN-LICENSED TECHNOLOGY OF DON
9 KOHN'S AT UCLA IN CONNECTION, STARTING WITH EVIE AND
10 HIS SCID WORK, THAT THEY'VE SINCE ADDED A VERY LARGE
11 NUMBER OF RARE DISEASE TARGETS AND PRODUCTS THAT ARE
12 IN THE PIPELINE. THEY WENT PUBLIC, AS YOU KNOW,
13 LAST YEAR, AND THEY ARE EXPECTED TO HAVE IN 2020 AN
14 APPROVAL FOR THE REFERENCED PRODUCT UP THERE.

15 BUT YOU CAN SEE IN THE DIFFERENT FIELDS OF
16 REGENERATIVE MEDICINE, GENE THERAPY, CELL THERAPY,
17 CELL-BASED IMMUNO-ONCOLOGY AND TISSUE ENGINEERING,
18 THERE ARE EXPECTED TO BE A NUMBER OF THINGS
19 APPROVED. AND AS YOU SEE IN THE LOWER RIGHT HAND OF
20 THE SCREEN, THERE ARE A BUNCH OF PRODUCTS EXPECTING
21 TO GET IND'S IN 2020 AND GO INTO CLINICAL TRIALS.

22 JUST A BIT OF A BREAKDOWN OF THE OVER A
23 THOUSAND PROJECTS THAT ARE IN CLINICAL TRIALS RIGHT
24 NOW. YOU CAN SEE HERE THIS BREAKS IT DOWN TO PHASES
25 1, 2, AND 3 FOR THE FOUR CATEGORIES WE JUST

BETH C. DRAIN, CA CSR NO. 7152

1 DESCRIBED TO GIVE YOU A FLAVOR FOR HOW EACH OF THOSE
2 SUBFIELDS WITHIN REGENERATIVE MEDICINE ARE FARING.

3 AND THEN THIS IS MEANT TO SHOW THE
4 CLINICAL ACTIVITY FOR SO-CALLED LARGER INDICATIONS.
5 AND THAT, IF YOU NOTICE, IT GIVES YOU THE NUMBER OF
6 CLINICAL TRIALS, BUT IT ALSO GIVES YOU A FEEL FOR
7 THE FACT THAT WITH THE LARGER INDICATIONS, A LOT OF
8 THE DIFFERENT CONDITIONS ARE SEEING AN INCREASED
9 NUMBER OF CLINICAL TRIALS AT AN INCREASED PACE.

10 THIS IS SORT OF AN INTERESTING SLIDE.
11 THIS IS THE 9.8 BILLION IN TOTAL FINANCINGS FOR NEW
12 INDUSTRY IN 2019. IF YOU LOOK AT THE MATH ON THE
13 RIGHT, IT DOESN'T QUITE ADD UP UNTIL YOU'VE NOTICED
14 THE FOOTNOTE AT THE BOTTOM, WHICH IS VERY DIFFICULT
15 TO READ, WHICH HAS A COUPLE OF THE GENE-BASED AND
16 CELL THERAPIES ARE DOUBLE-COUNTED, WHICH SORT OF, AT
17 THE END OF THE DAY, ALL IN THE WASH WORKS OUT TO 9.8
18 BILLION. BUT, AGAIN, YOU GET A SENSE THAT THERE ARE
19 VERY MATERIAL AMOUNTS OF MONEY BEING PUT INTO THIS.
20 IT'S A VERY NOTEWORTHY TREND.

21 INTERESTINGLY, 2018 WAS THE LARGEST YEAR
22 IN TERMS OF MONEY RAISED FOR REGENERATIVE MEDICINE
23 COMPANIES. 2019 WAS THE SECOND HIGHEST. AND I
24 THINK IN 2018 YOU HAD SOME NOTABLE IPO'S THAT
25 BOOSTED THE TOTAL. BUT YOU GET THE NOTION THAT

BETH C. DRAIN, CA CSR NO. 7152

1 THERE'S A CONSISTENT AMOUNT OF LARGE AMOUNTS OF
2 MONEY BEING PUT IN HERE.

3 LOOKING FORWARD BY 2020, NEXT SLIDE, THIS
4 IS KIND OF INTERESTING. IT LOOKS LIKE A BUSY SLIDE,
5 BUT THIS GETS TO A VERY IMPORTANT TOPIC, WHICH IS
6 PATIENT ACCESS AS A FUNCTION OF POSITIVE
7 REIMBURSEMENT DECISIONS FOR SELECT REGENERATIVE
8 MEDICINE PRODUCTS. OBVIOUSLY THIS WHOLE NOTION OF
9 REIMBURSEMENT FOR THE PRODUCTS IS A HUGE ISSUE. AND
10 YOU CAN SEE FOR A NUMBER OF THESE HIGH PROFILE
11 PRODUCTS THAT ARE OUT ON THE MARKET NOW, JUST
12 EXACTLY THE TREND AND WHERE THINGS HAVE BEEN
13 APPROVED AND GOTTEN THROUGH THE REGULATORY PROCESS
14 AND GOTTEN THE PAYERS TO AGREE TO COVER THEM.

15 AND SO THIS IS AN EVOLVING STORY OF WHICH
16 THERE IS GOING TO BE A GREAT DEAL OF TALK IN 2020
17 AND BEYOND TO GET THESE PRODUCTS INTO THE MAINSTREAM
18 IN TERMS OF REIMBURSEMENT AND REGULATORY APPROVAL.

19 THIS I PUT UP, THIS IS KIND OF
20 INTERESTING, THERE ARE STUDIES DONE TO DETERMINE
21 WHAT THE SAVINGS MIGHT BE FOR PARTICULAR THERAPIES
22 ABOVE AND BEYOND THE CURRENT COST UNDER STANDARD OF
23 CARE. AND THERE WAS A STUDY DONE BY ARM IN
24 CONNECTION WITH RARE BLOOD DISEASES, PARTICULARLY
25 SICKLE CELL, HEMOPHILIA, AND MULTIPLE MYELOMA. AND

BETH C. DRAIN, CA CSR NO. 7152

1 THAT STUDY DETERMINED THAT THERAPIES COULD PROVIDE
2 COST SAVINGS OF 18 TO 30 PERCENT OVER A TEN-YEAR
3 PERIOD, WHICH IS MATERIAL. AND I DARE SAY THAT YOU
4 WILL BE SEEING A LOT OF STUDIES LIKE THIS COME OUT
5 AS PRODUCTS GET CLOSER TO ACTUALLY MATERIALIZING.

6 BIT OF A BUSY SLIDE HERE, BUT THIS IS SORT
7 OF PROJECTING INTO 2020. JUST INVITE YOU TO READ
8 THIS WITH RESPECT TO A LOT OF THE BIG TOPICS THAT
9 FACE THE INDUSTRY. I THINK THIS IS A GOOD SUMMARY
10 OF WHAT'S PROJECTED FOR THE COMING YEAR.

11 SO IN SUMMARY, 2019 WAS A YEAR OF
12 SIGNIFICANT GROWTH IN THE REGENERATIVE MEDICINE
13 SECTOR. WE ENTER 2020 POISED FOR CONTINUED
14 EXPANSION. MANY PATIENTS ARE ALREADY BENEFITING
15 FROM REGENERATIVE MEDICINES, AND THE CLINICAL
16 RESULTS ARE DRAMATIC. THE PIPELINE IS ROBUST WITH
17 SEVERAL NEXT GEN TECHNOLOGIES ENTERING THE CLINIC
18 AND AN INCREASE IN CLINICAL TRIALS FOR INDICATIONS
19 WITH LARGE PATIENT POPULATIONS.

20 CONSIDERABLE EFFORT AND PROGRESS HAS BEEN
21 MADE IN ADDRESSING VARIOUS MANUFACTURING CHALLENGES,
22 WHICH WE DIDN'T REALLY GET INTO, BUT THERE HAVE BEEN
23 SOME SIGNIFICANT DEVELOPMENTS THERE. AND WHILE
24 FINANCING DIPPED IN 2019 VERSUS 2018, FINANCING
25 REMAINS STRONG ACROSS VENTURE CAPITAL AND

BETH C. DRAIN, CA CSR NO. 7152

1 PARTNERSHIPS WITH M&A ACTIVITY SHOWCASING LARGE AND
2 MIDDLE CAP PHARMA'S INTEREST IN THE CELL AND GENE
3 THERAPY SPACE.

4 THAT LAST POINT IS VERY IMPORTANT BECAUSE,
5 AS YOU KNOW, IT'S TAKEN PHARMA QUITE A BIT OF TIME
6 TO WARM UP TO THE FIELD. THERE WAS A LOT OF THOUGHT
7 THAT YOU NEEDED TO ESTABLISH PROOF OF CONCEPT FOR
8 CELL AND GENE THERAPIES WHICH GENERALLY GO INTO THE
9 END OF PHASE 1 OR 2 DEPENDING ON THE PROJECTS.
10 YOU'RE NOW SEEING PHARMA GETTING INTO THINGS EARLIER
11 WHICH IS A REFLECTION ON THE FACT THAT THEY ARE
12 SEEING THE VIABILITY OF REGENERATIVE MEDICINE IN
13 GENERAL, AND I THINK THAT'S MOST DEFINITELY A TREND
14 THAT'S GOING TO CONTINUE.

15 SO THAT IS THE END OF THE SLIDES FROM THE
16 STATE OF THE INDUSTRY TALK AT ARM. ARE THERE ANY
17 QUESTIONS ON THAT AT ALL? YES, DR. HIGGINS.

18 DR. HIGGINS: FOR A LONG TIME NOW, WE'VE
19 SORT OF AVOIDED THE ISSUE OF AFFORDABILITY AND
20 VIABILITY FROM THE FINANCIAL POINT OF VIEW. AND IT
21 SEEMS IT JUST CHANGED OVERNIGHT, THAT THERE'S
22 INTEREST IN THAT FOR OBVIOUS REASONS.

23 YOU GAVE TWO POSSIBLE REASONS THAT THAT'S
24 BECOME UNFEASIBLE TO FEASIBLE, THE INTEREST OF
25 PHARMA, FOR EXAMPLE, CLOSE TO BEING FURTHER ALONG IN

BETH C. DRAIN, CA CSR NO. 7152

1 DEVELOPMENT. BUT WHAT WOULD YOU SAY WAS THE ONE OR
2 TWO MAJOR REASONS THAT THE MOOD HAS CHANGED FROM
3 BEING UNFRIENDLY TO REGENERATIVE MEDICINE TO BEING
4 ECONOMICALLY VIABLE?

5 CHAIRMAN THOMAS: SO I THINK FIRST AND
6 FOREMOST A FUNCTION OF THE TIMELINE OF DEVELOPMENT
7 OF PRODUCTS. SO EARLIER WHEN THINGS WEREN'T QUITE
8 INTO CLINICAL TRIALS OR THEY WERE EARLY ON IN
9 CLINICAL TRIALS AND THERE HADN'T BEEN ENOUGH
10 EXAMPLES OF VIABILITY, THAT THERE WAS VERY MUCH A
11 WAIT AND SEE SORT OF THING, WHICH I DARE SAY WAS
12 PROBABLY THE SAME BACK IN THE '90S WHEN MONOCLONAL
13 ANTIBODIES FIRST CAME ON THE SCENE. IT'S A WHOLE
14 NEW APPROACH. AND UNTIL YOU ESTABLISH THAT, BIG
15 PHARMA IS RELUCTANT TO GET INTO THE GAME.

16 I THINK YOU'RE NOW SEEING PRODUCTS
17 APPROVED, YOU'RE SEEING DRAMATIC RESULTS. I THINK
18 THERE'S A DEVELOPING SENSE IN BIG PHARMA THAT, IN MY
19 OPINION, I DON'T KNOW, WELCOME OTHERS, THAT THE
20 TRAIN IS GOING TO LEAVE THE STATION IF IT HASN'T
21 STARTED TO ALREADY, AND THERE'S A REAL NEED TO GET
22 INTO THE FIELD IN A BIGGER WAY LEST YOU GET LEFT
23 BEHIND. SO I THINK THAT'S PROBABLY THE SINGLE
24 BIGGEST THING.

25 DR. HIGGINS: ONE COMMENT. THAT

BETH C. DRAIN, CA CSR NO. 7152

1 UNDERScores TO ME THE IMPORTANCE OF CIRM'S ALPHA
2 CLINICS.

3 CHAIRMAN THOMAS: YES. THERE ARE A LOT OF
4 THINGS THAT UNDERScore THE IMPORTANCE. THERE'S
5 THAT. THERE IS THE ALPHA CLINICS AS THE SHINING
6 EXAMPLE OF THE RIGHT WAY TO DO THINGS AS OPPOSED TO
7 STEM CELL CLINICS THAT ARE PROLIFERATING OUT THERE
8 THAT ARE INCREASINGLY A PROBLEM. THAT IS THE WRONG
9 WAY TO GO ABOUT IT WITH NO SORT OF APPROVAL
10 MECHANISM, NO BACKING, WHATEVER. BUT, YES, I
11 ABSOLUTELY AGREE WITH THAT.

12 DR. DULIEGE: THANK YOU, J.T., FOR THIS
13 GREAT OVERVIEW, VERY COMPREHENSIVE, AND ACTUALLY
14 VERY ENCOURAGING. YOU MENTIONED THE POINT ABOUT
15 MANUFACTURING CHALLENGES. BUT MY QUESTION IS WERE
16 THERE ANY REFERENCES TO HOW WE HAVE IMPROVED ON
17 ADDRESSING THE REGULATORY CHALLENGES GLOBALLY? AND
18 I RECALL THAT THIS WAS VERY MUCH AN EFFORT OF THE
19 CIRM. ACTUAL CIRM WAS THE LEADER IN ADDRESSING
20 REGULATORY CHALLENGES. SO ANY UPDATE ON THAT?

21 CHAIRMAN THOMAS: DR. MILLAN, WOULD YOU
22 WANT TO COMMENT ON THAT BECAUSE YOU'VE BEEN INVOLVED
23 DEALING BACK THERE WITH THE FDA?

24 DR. MILLAN: ABSOLUTELY. AND I'LL TOUCH A
25 LITTLE BIT ON THAT WHEN WE GIVE OUR PRESENTATION

BETH C. DRAIN, CA CSR NO. 7152

1 BECAUSE WE HAVE A DEMONSTRATION OF HOW, ESPECIALLY
2 WITH THE 21ST CENTURY CURES ACT THAT WAS PASSED IN
3 DECEMBER 2016 THAT PROVIDED A PATHWAY FOR, NO. 1,
4 MODERNIZATION OF HOW THE FDA IS LOOKING AT
5 REGENERATIVE MEDICINE PRODUCTS AND, TWO, CREATING A
6 FORMAL PATHWAY, AN EXPEDITED PATHWAY, THAT ALLOWS
7 FOR MORE INTERACTIVE PARTNERSHIPS WITH THE FDA. AND
8 WE'VE SEEN THAT THAT HAS WORKED OUT VERY WELL FOR
9 OUR PROJECTS SPECIFICALLY, BUT WE AS AN AGENCY HAVE
10 HAD VERY COLLEGIAL AND COLLABORATIVE CONVERSATIONS
11 WITH THE FDA TO TACKLE ISSUES. AND THEY'VE ATTENDED
12 OUR WORKSHOPS. SO JUST THAT EXCHANGE OF INFORMATION
13 AS THE FIELD IS GROWING IS AMAZING.

14 BUT MORE TANGIBLY THEY'VE PUT OUT SPECIFIC
15 GUIDANCE DOCUMENTS NOW THAT HAVE CREATED CLARITY IN
16 THE FIELD AND GUIDANCE DOCUMENTS EVEN IN TERMS OF
17 CLINICAL TRIAL DESIGN, SUCH AS ADAPTIVE TRIAL
18 DESIGN, FOR INSTANCE, WHICH TAKES INTO ACCOUNT, AND
19 I'LL MENTION THIS, THAT FOR MANY OF THE DISORDERS
20 THAT WE ARE TACKLING WITH REGENERATIVE MEDICINE
21 PRODUCTS, THEY ARE RARE CASES, SMALL NUMBERS. SO
22 THE CLASSIC PHASE 1, 2, 3 TRIAL DESIGN THAT WE ARE
23 USED TO IN THE PAST THAT REQUIRED LARGE NUMBERS AND
24 LONG TIMELINES IS SOMETHING THAT'S BEING CHALLENGED
25 RIGHT NOW. AND YOU WILL SEE DEMONSTRATION OF THIS

BETH C. DRAIN, CA CSR NO. 7152

1 MORE, I WOULD SAY, NIMBLE WAY OF LOOKING AT DATASETS
2 AND DETERMINING THE BEST WAY TO REALLY FIGURE OUT IF
3 SOMETHING IS WORKING OR NOT. AND SO THE FDA IS
4 WORKING WITH INVESTIGATORS ON THAT.

5 IN TERMS OF MANUFACTURING, THERE'S A LONG
6 WAY TO GO. THAT IS A MAJOR CHALLENGE, BUT THERE'S
7 PROGRESS IN TERMS OF, FOR EXAMPLE, STARTING TO THINK
8 ABOUT HOW THEY CAN -- WHAT ROLE THEY CAN PLAY IN
9 TACKLING THAT. WE'VE BEEN HAVING CONVERSATIONS WITH
10 MULTIPLE STAKEHOLDERS IN THAT AS WELL.

11 CHAIRMAN THOMAS: THANK YOU. DR.
12 SANDMEYER.

13 DR. SANDMEYER: GIVEN THE VALUE-ADDED DATA
14 THAT YOU INDICATED, COULD YOU COMMENT ON THE
15 REIMBURSEMENT LANDSCAPE GOING FORWARD?

16 CHAIRMAN THOMAS: SO THAT'S VERY MUCH A
17 WORK IN PROGRESS. I THINK IT'S AT ITS EARLIEST
18 STAGES. I THINK THERE ARE A LOT OF COMPETING ISSUES
19 HERE. THERE'S THE NEED TO GET ACROSS THE FACT THAT
20 IF YOU HAVE SOMETHING THAT'S A ONE-TIME CURE THAT
21 HAPPENS TO HAVE A HIGH PRICE TAG, THAT COULD BE
22 VIEWED AS EXCESSIVE. THERE'S AN EDUCATIONAL PROCESS
23 TO EXPLAIN THAT IF YOU COMPARE THAT TO THE COST OF
24 CHRONIC TREATMENT OVER TIME, IT'S ACTUALLY A REAL
25 COST SAVER, WHICH I THINK IS THE POINT OF THAT ONE

BETH C. DRAIN, CA CSR NO. 7152

1 SLIDE, THAT THAT WAS WHAT THEY WERE TRYING TO MAKE.

2 I THINK THERE'S ALSO THE POLITICAL ISSUES
3 BACK THERE HEAVILY WEIGHING AGAINST THE COST OF
4 PRESCRIPTION DRUGS AND SORT OF SETTING THE TONE FOR
5 THERE BEING AN ISSUE WITH HIGH COST TREATMENTS,
6 WHICH MANY OF OUR PRODUCTS ARE GOING TO HAVE JUST BY
7 THE VERY NATURE OF THE AMOUNT THAT IT HAS COST TO
8 DEVELOP, ET CETERA. SO I THINK THAT SOME OF THE
9 PROJECTS HAVE REACHED A POINT WHERE REIMBURSEMENT
10 HAS BEEN AGREED TO, BUT THERE ARE A LOT OF THINGS
11 THAT ARE IN PRODUCT DEVELOPMENT RIGHT NOW WHERE
12 THAT'S NOT YET THE CASE. AND IT'S GOING TO BE A
13 CONTINUING PROCESS OF EDUCATION. AND AS THE FIELD
14 MATURES AND MORE AND MORE PRODUCTS COME TO MARKET,
15 IT'S GOING TO BE A VERY BIG ISSUE.

16 SENATOR TORRES.

17 MR. TORRES: YES. AS SOME OF YOU MAY
18 KNOW, I'M A MEMBER OF THE FIVE-MEMBER BOARD WHICH
19 OVERSEES OBAMACARE HERE IN CALIFORNIA. IT'S CALLED
20 COVER CALIFORNIA. AND WE'VE BEEN VERY PROUD OF THE
21 FACT THAT ACROSS THE NATION WE'VE KEPT OUR PREMIUMS
22 \$1500 BELOW ANY OTHER PREMIUM IN THE NATION BECAUSE
23 OF VERY TOUGH NEGOTIATIONS. AND AS A RESULT OF
24 THAT, I BROUGHT IN TO MEET WITH MARIA OUR
25 NEGOTIATORS FROM COVER CALIFORNIA WHO BASICALLY

BETH C. DRAIN, CA CSR NO. 7152

1 BROUGHT IN THIRD-PARTY PAYERS, BLUE SHIELD, BLUE
2 CROSS, KAISER, HERE IN THIS VERY ROOM TO START
3 TALKING ABOUT THE FUTURE, TO START TALKING ABOUT
4 TREATMENT REIMBURSEMENT, TO START TALKING,
5 ESPECIALLY MY CONVERSATIONS WITH THE HEADS OF THESE
6 THIRD-PARTY PAYERS, ABOUT THE ECONOMIC BENEFITS OF
7 THE INSURERS IN TERMS OF, YES, THE COST WILL BE HIGH
8 INITIALLY, BUT IN THE LONG TERM THINK OF ALL THE
9 OTHER FACTORS THAT YOU'RE NOT GOING TO BE CHARGED
10 FOR OR BE ABLE TO CHARGE PEOPLE FOR.

11 WE ARE ALSO IN THE PROCESS NOW OF MAKING
12 SURE THAT THAT PROVISION WITHIN THE NEW INITIATIVE
13 WHICH TALKS ABOUT ACCESSIBILITY AND THE
14 ESTABLISHMENT OF AN ACCESSIBILITY COMMITTEE IS GOING
15 TO BE SO KEY. I'VE BRIEFED COVER CALIFORNIA ON THAT
16 AS WELL TO MAKE SURE THAT WE COORDINATE AS MUCH AS
17 WE CAN BECAUSE WE ARE NOW AT THE NEXT LEVEL. WE'VE
18 DONE OUR HOMEWORK. NOW, GOD WILLING, THIS
19 INITIATIVE WILL PASS. THAT'S NOT A POLITICAL
20 STATEMENT. THAT'S A PRAYER. GOD WILLING, THIS
21 INITIATIVE WILL PASS AND THAT WILL HELP US CONTINUE
22 ON THAT ROAD. BUT WHAT IS KEY TO ME IS THAT THESE
23 INSURERS ARE NOW AT THE TABLE REALIZING WHAT'S AHEAD
24 AND REALIZING THE POTENTIAL BENEFITS TO THEM.

25 CHAIRMAN THOMAS: DR. MARTIN.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. MARTIN: JUST A COMMENT ON A
2 THIRD-PARTY PAYER. THE MAJOR POLITICAL HURDLE WAS
3 THAT THE CMS AGREED LAST FALL TO PAY FOR CAR-T
4 THERAPY THAT WAS APPROVED BY THE FDA. THAT WAS
5 MAJOR. AND THE STUDY WAS DONE BY AN ORGANIZATION
6 OUT OF THIS COUNTY. AND IT'S A VERY CONVINCING
7 ECONOMIC ANALYSIS. AND IT ENDS UP THAT THE MOST
8 ECONOMICALLY BENEFICIAL ANALYSIS IS ON CHILDREN WITH
9 CHIMERA BECAUSE THE EFFECT EXTENDS SO LONG THAT IT
10 ALSO WAS APPROVED FOR THOSE OF US OVER 65. THAT WAS
11 A MAJOR HURDLE.

12 CHAIRMAN THOMAS: DR. MILLAN AND THEN
13 YSABEL.

14 DR. MILLAN: THANK YOU SO MUCH. I JUST
15 WANTED TO MAKE A PITCH FOR OUR ALPHA CLINICS
16 SYMPOSIUM WHERE WE WILL HAVE ICER THERE ON A PANEL.
17 GEOFF LOMAX IS HELPING OUR ALPHA CLINICS ORGANIZE
18 THIS MEETING. AND WE'VE BEEN, ABLA CREASEY AND THE
19 TEAM, HAVE BEEN DISCUSSING WITH ICER AND I'VE BEEN
20 IN MEETINGS WITH CMS AND THE FDA, AND THERE IS A LOT
21 OF MOTIVATION TOWARD ALIGNING REGULATORY APPROVALS
22 AND THE TYPES OF DATASETS AND EVIDENCE GENERATION
23 FROM THE CLINICAL TRIALS THAT COULD BE USEFUL FOR
24 SUPPORTING CURRENTLY.

25 AND ANOTHER STATEMENT IS WE DON'T HAVE

BETH C. DRAIN, CA CSR NO. 7152

1 MANY PRODUCTS OUT THERE THAT HAVE BEEN MARKETED, BUT
2 EVEN THE NON-CAR-T PROGRAMS, THE SPARK THERAPEUTICS
3 PROGRAM FOR BLINDING EYE DISEASE, AND THE PROGRAM,
4 AVEXIS PROGRAM, FOR SMA THAT IS NOW BEING MARKETED
5 BY NOVARTIS. WE'VE HAD PUBLICLY REPORTED INTERIM
6 KIND OF MARKET UPDATES. AND SURPRISINGLY THE
7 PRIVATE INSURERS ARE COVERING. THEY HAVE BEEN
8 GETTING A LOT OF TRACTION WITH PRIVATE INSURERS AT
9 THIS POINT. SO IT'S A RARE DISEASE. THERE'S NO
10 OTHER CURE. THERE DEFINITELY IS A MOTIVATION. IT'S
11 JUST FINDING THE BEST MODEL.

12 AND ONE OF THE THINGS IN ALL OUR
13 CONVERSATIONS AND THE MULTIPLE WORKSHOPS THAT WE
14 HAVE HAD WITH CMS, FDA, AND OTHER POLICY FOLKS IS
15 THAT IT HAS TO BE DATA DRIVEN. AND SO THAT IS KIND
16 OF THE KEY THINGS THAT WE ARE HEARING ALL THE TIME
17 IS DATA. AND SO THAT'S AN IMPORTANT THING TO KEEP
18 IN MIND AS WE DO ALL OUR RESEARCH.

19 DR. MARTIN: LET ME JUST ADD QUICKLY, THAT
20 THAT DOES NOT INFER THAT THE INDUSTRY AND ACADEME
21 DOES NOT HAVE TO CONTINUE TO TRY TO REDUCE COST OF
22 MANUFACTURING. AND THERE ARE ONGOING PRESSURES
23 THERE, PARTICULARLY TO MAKE IT SCALABLE.

24 CHAIRMAN THOMAS: MS. DURON.

25 MS. DURON: THANK YOU, JONATHAN. I MAY BE

BETH C. DRAIN, CA CSR NO. 7152

1 TOTALLY OFF BASE HERE, BUT I'M ALWAYS THINKING ABOUT
2 LIKE NO. 2, THE PATIENTS BENEFITING, BUT WHO'S
3 PAYING? HOW ARE THEY PAYING? CAN THEY AFFORD?
4 THIS IS THE FIRST THING THAT STOPS MANY LOW-INCOME
5 PEOPLE FROM EVEN HEADING TOWARDS CARE BECAUSE
6 THEY'RE PUTTING THEIR DOLLAR INTO THIS INSTEAD OF
7 THAT. I'M SURE ART KNOWS THIS FROM EVEN THE ACA.

8 I MAY HAVE MENTIONED -- I MAY NOT HAVE
9 MENTIONED TO YOU THAT I SIT ON THE INSTITUTIONAL
10 REVIEW BOARD FOR THE ALL OF US RESEARCH PROGRAM.
11 AND EVEN AS THEY'RE RAMPING UP ON GETTING, I THINK
12 THEY'RE NOW AT 330,000 AT LEAST, PARTICIPANTS, THE
13 QUESTIONS COMING BACK IS SORT OF LIKE WHAT'S IN IT
14 FOR US? WE'VE NOW GIVEN YOU ACCESS TO IT, WHAT'S IN
15 IT FOR US? WHAT ARE WE GETTING BACK? SO THERE WILL
16 BE RETURN OF RESULTS. THEY'RE DOING SOME SEQUENCING
17 AND SO ON AND SO FORTH.

18 BUT I THINK THAT, IN GENERAL, THIS KIND OF
19 AN INITIATIVE, THIS KIND OF MEDICINE IN ALL OF ITS
20 WONDERFUL MEDICAL DISCOVERIES THAT CAN IMPACT
21 PATIENTS, IN SOME WAYS THERE OUGHT TO BE PAYBACK FOR
22 THE INVESTMENT OF TAXPAYERS IN CALIFORNIA PUTTING
23 MONEY INTO THESE DISCOVERIES.

24 SO HOW DO THEY GET PAID BACK? WHERE DO
25 THEY GET SOME BENEFIT FROM THIS BESIDES, OF COURSE,

BETH C. DRAIN, CA CSR NO. 7152

1 THESE PATIENTS, WHICH IS FABULOUS, BUT THE SENSE
2 THAT I'M PUTTING IN A DOLLAR AND I GET BACK A PENNY.
3 I WOULD LOVE TO SEE, AND I DON'T KNOW IF THIS IS
4 SOME KIND OF AN ISSUE THAT HAS TO BE TAKEN UP IN THE
5 LEGISLATURE, I WOULD LOVE TO SEE THAT WHENEVER WE
6 PUT UP -- WELL, YOU NEVER KNOW WHAT IT'S GOING TO
7 ENTAIL, RIGHT? IT'S PROBABLY GOT 5,000 RULES THAT
8 GO WITH IT, BUT I WOULD LOVE TO SEE THAT, EVEN AS
9 YOU'RE PUTTING OUT RFA'S, THAT YOU'RE ALSO SAYING
10 FOR EVERY -- AND WE'VE GOT THE CALIFORNIA BREAST
11 CANCER RESEARCH PROGRAM WHICH IS BASED ON A TAX
12 INITIATIVE IN WHICH DOLLARS GO BACK INTO RESEARCH
13 AND SUPPORT, BUT IT'S ALSO SUPPORTING
14 COMMUNITY-BASED ENGAGEMENT IN RESEARCH.

15 SO THERE'S A LINE ITEM THERE THAT IS VERY
16 IMPORTANT THAT'S HELPING CBO'S GET ENGAGED IN
17 RESEARCH AND PAYING THEIR BILLS AND NOT JUST ASKING
18 THEM TO DO IT VOLUNTARILY. WHAT I'D LIKE TO SEE IS
19 SOMETHING CALLED A PEOPLE FUND. CAN WE TAKE FOR
20 EVERY DOLLAR WE ARE PUTTING INTO AN INVESTMENT IN A
21 PRODUCT, EVENTUAL PRODUCT OR RESEARCH, WE CAN PUT A
22 PENNY INTO IT? BY THE TIME YOU GATHER A LOT OF
23 PEOPLE'S PENNIES, IT TURNS INTO, I THINK, I WOULD
24 HOPE, A SIZABLE FUND TO BE PUT ASIDE TO PAY FOR
25 PEOPLE OR PAY FOR OPPORTUNITIES FOR PEOPLE TO ENGAGE

BETH C. DRAIN, CA CSR NO. 7152

1 IN THESE CLINICAL TRIALS BECAUSE IT TAKES TIME AND
2 MONEY AND EFFORTS, AND A LOT OF PEOPLE DON'T ENGAGE
3 OR CAN'T ENGAGE BECAUSE OF THE COST OR IT GOES TO
4 CLINICS, IT GOES INTO THE ACA TO SUPPORT PEOPLE'S
5 ABILITY TO GET THIS KIND OF HEALTHCARE WHEN AND
6 WHERE NEEDED AS THE DISCOVERIES ARE FOUND. BECAUSE
7 TO ME THIS IS -- PEOPLE INVESTING IN OPPORTUNITIES
8 AND AS STAKEHOLDERS, WHAT ARE THEY GETTING BACK FOR
9 THEIR DOLLAR?

10 MR. TORRES: I THINK IT'S IMPORTANT FOR
11 YOU TO BE EDUCATED BY JAMES HARRISON WHO'S EDUCATED
12 ME FROM THE BEGINNING. I THINK IT'S IMPORTANT TO
13 LAY OUT WHAT IS THE CURRENT INITIATIVE LANGUAGE AND
14 WHAT'S THE PROPOSED INITIATIVE LANGUAGE IF YOU WOULD
15 BE SO KIND.

16 MR. HARRISON: CERTAINLY. SO THE ORIGINAL
17 LAW REQUIRES THIS GOVERNING BOARD TO ESTABLISH
18 INTELLECTUAL PROPERTY REGULATIONS TO ENSURE THAT THE
19 DESIRE FOR THE STATE TO BENEFIT FROM ANY ROYALTIES
20 EARNED FROM THE RESEARCH IT'S FUNDING IS BALANCED
21 AGAINST THE DESIRE NOT TO UNNECESSARILY IMPEDE
22 MEDICAL RESEARCH AND DISCOVERY. SO THIS BOARD HAS
23 ADOPTED AND AMENDED MANY TIMES EXTENSIVE
24 INTELLECTUAL PROPERTY REGULATIONS, WHICH MR.
25 JUELSGAARD CAN DESCRIBE TO YOU IN DETAIL AS THE

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIR OF THE IP TASK FORCE, BUT THEY DO PROVIDE FOR
2 A RETURN TO THE STATE. AND UNDER CURRENT LAW, THOSE
3 FUNDS GO DIRECTLY TO THE STATE GENERAL FUND TO
4 BENEFIT THE STATE AT LARGE.

5 HOWEVER, UNDER THE PROPOSED MEASURE THAT
6 MR. KLEIN HAS SUBMITTED, THOSE FUNDS WOULD BE
7 EARMARKED TO HELP DEFRAY THE COST OF THERAPIES AND
8 CURES THAT ARISE FROM CIRM-FUNDED RESEARCH FOR THOSE
9 CALIFORNIANS WHO DON'T HAVE SUFFICIENT FUNDS TO
10 OBTAIN THEM.

11 MS. DURON: OKAY. I DON'T KNOW IF IT'S
12 QUITE GOOD ENOUGH, BUT I'LL START THERE.

13 CHAIRMAN THOMAS: DR. PRIETO.

14 DR. PRIETO: YES. I KNOW SOME OF THESE
15 ARE PERHAPS BRANCHES OF LARGER COMPANIES, BUT HOW
16 MANY OF THE REGENERATIVE MEDICINE COMPANIES HAVE
17 SHOWN A PROFIT? DO WE HAVE ANY IDEA OF THAT?

18 CHAIRMAN THOMAS: I DON'T. DR. MILLAN, DO
19 YOU?

20 DR. MILLAN: WELL, THERE WAS -- I DON'T
21 KNOW IF SHYAM PATEL IS HERE. SHYAM, DO YOU WANT TO
22 COMMENT ON THE REPORTS THAT THEY HAD WITH THE SMA
23 PRODUCT BECAUSE THAT JUST CAME OUT?

24 DR. PATEL: SO THE APPROVED MEDICINES ARE
25 KYMRIA, ESCARDA, LUXTURNA, AND ZOLGENSMA, AS MARIA

BETH C. DRAIN, CA CSR NO. 7152

1 ALLUDED TO.

2 SO KYMRIAH, WHICH IS THE NOVARTIS ONE, WAS
3 THE FIRST CAR-T PRODUCT TO BE COMMERCIALY VIABLE.
4 AND THAT WAS -- BECAUSE OF MANUFACTURING ISSUES, THE
5 REVENUE HAS BEEN SLOW, THE GROWTH HAS BEEN SLOW.

6 ESCARDA IS DOING BETTER. THEY HAD BETTER
7 MANUFACTURING IN PLACE.

8 LUXTURNA IS A VERY SMALL POPULATION, SO
9 THE REVENUE THERE IS NOT GOING TO BE VERY LARGE.

10 BUT ZOLGENSMA, WHICH IS THE SMA GENE
11 THERAPY PRODUCT, HAS SHOWN REALLY GREAT REVENUE
12 GROWTH OVER THE PAST FEW QUARTERS. AND IT'S GROWING
13 BEYOND WHAT THEY ORIGINALLY PROPOSED AND EXPECTED.
14 SO THAT ONE IS TAKING A LOT OF MARKET SHARE FROM
15 SPINRAZA. IT'S GOT GOOD COVERAGE BOTH THROUGH
16 MEDICAID AS WELL AS THROUGH PRIVATE INSURERS, AND
17 IT'S GETTING SOLID REVENUE GROWTH QUARTER OVER
18 QUARTER.

19 SO NOW I CANNOT SAY THAT BECAUSE IT'S
20 OWNED BY NOVARTIS, IT DOESN'T REALLY SHOW HOW MUCH
21 PROFIT THEY'RE MAKING OFF OF IT, BUT IT IS
22 DEFINITELY A SUCCESS STORY SO FAR IN TERMS OF CELL
23 AND GENE THERAPIES.

24 CHAIRMAN THOMAS: THANK YOU. ANY OTHER
25 QUESTIONS OR COMMENTS? OKAY.

BETH C. DRAIN, CA CSR NO. 7152

1 THE NEXT ITEM, WANT TO TALK A BIT, SET THE
2 STAGE FOR DR. MILLAN'S PRESENTATION ON THE STRATEGIC
3 PLAN. SO AS YOU WILL RECALL, IN OUR LAST BOARD
4 MEETING, WE HAD TALKED ABOUT DEVELOPING A NEW
5 STRATEGIC PLAN. THAT STRATEGIC PLAN FOLLOWS ON THE
6 CURRENT PLAN, WHICH HAS BEEN IN PLACE SINCE 2016 AND
7 RUNS THROUGH THIS YEAR, AND THE IDEA WAS TO HAVE THE
8 TEAM PUT TOGETHER A STRATEGIC PLAN OVER THE COURSE
9 OF THIS YEAR FOR CONSIDERATION BY THE BOARD IN THE
10 EVENT THE NEW INITIATIVE PASSES IN NOVEMBER.

11 GENERAL GAME PLAN HERE IS SEVERAL FOLD.
12 FIRST, DR. MILLAN TODAY WILL BE LOOKING BACK AT THE
13 2016-2020 STRATEGIC PLAN TO SEE HOW WE'VE DONE
14 REACHING ITS GOALS. THEN SHE WILL PRESENT AND
15 OUTLINE FOR THE NEW STRATEGIC PLAN FOR BOARD
16 DISCUSSION THAT FACTORS IN LANGUAGE IN THE NEW
17 INITIATIVE. I HAVE CONFERRED WITH HER AND MEMBERS
18 OF THE TEAM ON THIS OUTLINE. MEMBERS OF THE BOARD
19 ARE ENCOURAGED TO ENGAGE FOLLOWING TODAY'S
20 DISCUSSION IN THE VARIOUS PARTS OF THE PLAN OUTLINE
21 THAT WILL BE FLESHED OUT IN THE COMING MONTHS.

22 DR. MILLAN WILL NEXT BRING THE REVISED
23 PLAN TO THE BOARD FOR FURTHER REVIEW AT OUR MAY
24 MEETING. THAT WILL TRIGGER ADDITIONAL WORK FOR THE
25 NEXT FEW MONTHS THEREAFTER CULMINATING IN ITS FINAL

BETH C. DRAIN, CA CSR NO. 7152

1 REVIEW AT OUR OCTOBER MEETING. IF THE MEASURE
2 PASSES, THE FINAL VERSION OF THE PLAN, WHICH WILL
3 STILL BE IN DRAFT FORM, WILL GO TO THE NEW BOARD
4 AFTER THE PASSAGE OF THE MEASURE FOR ITS REVIEW AND
5 ADOPTION AT THE FIRST MEETING AFTER THAT ELECTION.
6 AND THE DATE FOR THAT BOARD MEETING IS YET TO BE
7 DETERMINED.

8 IN ADDITION TO WORKING ON THE STRATEGIC
9 PLAN, BOARD MEMBERS ARE ENCOURAGED TO BE AMBASSADORS
10 FOR CIRM IN THE COMING MONTHS BY SPEAKING AT
11 CONFERENCES, SERVING ON PANELS, DOING INTERVIEWS
12 WITH THE PRESS WHEN COORDINATED THROUGH OUR
13 COMMUNICATIONS GROUP, TALKING TO EDITORIAL BOARDS,
14 ET CETERA. I'LL BE DOING MUCH OF THAT DURING THAT
15 TIME FRAME AS A WAY TO EDUCATE THE PUBLIC ABOUT CIRM
16 AND OUR PROGRAMS AND PORTFOLIO, MUCH AS I'VE BEEN
17 DOING IN THE PAST.

18 LAST WEEK, JUST FOR EXAMPLE, I SPOKE TO
19 THE ROTARY CLUB IN THE SOUTH BAY DOWN IN LOS ANGELES
20 AND AT THE STEM CELL CONFERENCE DOWN AT UC IRVINE.
21 TOMORROW I'M SPEAKING TO THE L.A. CHAMBER OF
22 COMMERCE.

23 SO I THINK WHEN WE GET INTO THIS
24 DISCUSSION THAT DR. MILLAN WILL LEAD, YOU WILL GET A
25 GOOD FEEL FOR WHAT AN OPENING OUTLINE FOR PURPOSES

BETH C. DRAIN, CA CSR NO. 7152

1 OF DISCUSSION WILL BE.

2 FINALLY, A COUPLE OF THINGS. WANTED TO
3 NOTE, DON WANTED TO SAY THAT -- DON'S WIFE GLORIA
4 STARTED CHEMO LAST WEEK FOR CANCER. AND I WANTED TO
5 SAY THAT OUR THOUGHTS ARE VERY MUCH, AS ALWAYS, WITH
6 YOU AND THE FAMILY, AND TO PLEASE PASS THAT ALONG TO
7 GLORIA. WE KNOW THAT SHE WILL BEAT THIS, AND WE
8 LOOK FORWARD TO POSITIVE NEWS IN THE VERY SHORT NEAR
9 FUTURE. IF WOULD YOU LIKE TO SAY SOMETHING, PLEASE
10 DO.

11 MR. REED: JUST THAT THE WORK THAT YOU DO
12 IS IMPORTANT TO NOT ONLY EVERY INDIVIDUAL WHO
13 SUFFERS CHRONIC, BUT THE ENTIRE COUNTRY AND THE
14 WORLD. MY WIFE IS MY HEART. I DREAD THE THOUGHT IF
15 SHE DOESN'T MAKE IT. BUT NO MATTER WHAT, FIGHT GOES
16 ON. THANK YOU SO MUCH.

17 CHAIRMAN THOMAS: THANK YOU. AND LAST, SO
18 AN ADDITIONAL TOUGH NOTE. VERY SORRY TO REPORT THAT
19 LAUREN MILLER'S MOTHER PASSED AWAY THIS WEEK AFTER A
20 LONG BATTLE WITH EARLY ONSET ALZHEIMER'S WHICH
21 LAUREN SO ELOQUENTLY DESCRIBED AT OUR LAST BOARD
22 MEETING IN OCTOBER. WE SEND OUR DEEPEST CONDOLENCES
23 TO HER AND THE FAMILY AT THIS MOST DIFFICULT TIME
24 AND LOOK FORWARD TO THE DAY WHEN THIS TERRIBLE
25 DISEASE IS CURED. WE WILL BE OBSERVING A MOMENT OF

BETH C. DRAIN, CA CSR NO. 7152

1 SILENCE IN HER MEMORY AT THE END OF THIS MEETING.

2 SO THAT CONCLUDES THE CHAIRMAN'S REPORT.

3 WHERE IS BETH? GREAT. LET'S GO TO ONE MORE ITEM

4 AND THEN WE'LL TAKE A BREAK. GOING TO GO NOW,

5 PLEASE, TO ITEM NO. 6, CONSIDERATION OF ALLOCATION

6 OF RECOVERED FUNDS TO RESEARCH PROGRAMS. WE'LL HAVE

7 DR. SAMBRANO PRESENTING HERE.

8 DR. SANDMEYER JUST ASKED IF IT'S POSSIBLE

9 TO GET THE JP MORGAN SLIDES. THE ANSWER IS YES. I
10 WILL SEND IT OUT TO EVERYBODY.

11 MS. BONNEVILLE: I JUST WANT TO CHECK TO
12 SEE IF BOARD MEMBERS HAVE JOINED. LINDA BOXER, ARE
13 YOU ON THE LINE?

14 DR. BOXER: YES. I'VE BEEN ON SINCE THE
15 BEGINNING.

16 MS. BONNEVILLE: GREAT. THANK YOU.
17 ROBERT QUINT.

18 DR. QUINT: YES.

19 MS. BONNEVILLE: THANK YOU. AL ROWLETT.

20 MR. ROWLETT: YES. I'VE BEEN ON SINCE THE
21 BEGINNING.

22 MS. BONNEVILLE: THANK YOU. OS STEWARD.
23 OKAY. THANK YOU.

24 CHAIRMAN THOMAS: DR. SAMBRANO.

25 DR. SAMBRANO: OKAY. THANK YOU, MR.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN. GOOD MORNING, EVERYBODY. SO MANY OF YOU
2 MAY RECALL THAT THE LAST TIME THIS BOARD MET TO TALK
3 ABOUT FUNDING OF APPLICATIONS, WE REACHED A BALANCE
4 OF ZERO OTHER THAN THE SICKLE CELL PROGRAM FOR WHICH
5 WE HAD RESERVED 27 MILLION. SO IN THE COURSE OF
6 MANAGING OUR PORTFOLIO OF EXISTING AWARDS, WHAT
7 HAPPENS IS WE RECOVER FUNDS. SO USUALLY THESE ARE
8 FUNDS THAT ARE UNUSED, UNDER CERTAIN AWARDS, CUTS
9 THAT ARE MADE DURING THE LAUNCHING OF NEW AWARDS,
10 AND SO THOSE CONTINUE TO ADD UP, AND THIS HAPPENS ON
11 AN ONGOING BASIS.

12 SO THOSE ARE CALLED UNALLOCATED RECOVERED
13 FUNDS. AND SO AS OF THE END OF JANUARY, WE HAD
14 \$2.28 MILLION THAT ARE AWAITING ALLOCATION. AND SO
15 TODAY'S PRESENTATION IS BASICALLY A COUPLE OF
16 SUGGESTIONS AND RECOMMENDATIONS OF WHAT TO DO WITH
17 THOSE FUNDS.

18 AND SO THOSE COVER TWO MAIN AREAS. SO ONE
19 IS THE CIRM PROGRESSION AWARDS, WHICH I WILL DISCUSS
20 AND EXPLAIN TO YOU, AS WELL AS A PROPOSED CIRM
21 GRANTEE MEETING.

22 SO LET'S FIRST TALK ABOUT THE PROGRESSION
23 AWARDS AND WHAT THESE ARE. SO THIS IS PART OF AN
24 INCENTIVE MECHANISM THAT WAS BUILT INTO THE QUEST
25 AWARD PROGRAM. SO THAT'S ONE OF OUR DISCOVERY EARLY

BETH C. DRAIN, CA CSR NO. 7152

1 RESEARCH AWARDS. GOAL OF THOSE AWARDS IS TO DEVELOP
2 A DEVELOPMENT CANDIDATE THAT WILL ULTIMATELY GO INTO
3 TRANSLATION. AND SO WE KNOW THAT THERE ARE A LOT OF
4 SCIENTISTS, ESPECIALLY IN ACADEMIA, WHO ARE VERY
5 GOOD AT DEVELOPING, DOING A LOT OF THE BASIC SCIENCE
6 THAT WILL GET WORK TO THAT POINT. AND SO THE
7 PROGRAM CREATES AN INCENTIVE TO THEN HAVE THAT WORK
8 TRANSITION INTO TRANSLATIONAL TYPE ACTIVITIES
9 WHETHER THE INVESTIGATOR DOES THEM THEMSELVES OR
10 THEY TRANSITION IT ON TO SOMEBODY ELSE TO DO IT.

11 AND SO THAT INCENTIVE IS \$150,000 IN
12 DIRECT PROJECT COSTS THAT WILL ALLOW THE
13 INVESTIGATOR TO CONTINUE TO DO WHAT THEY DO BEST AND
14 DO MORE DISCOVERY WORK. SO THE QUEST AWARDEES WHO
15 THEN ACHIEVE A PROGRESSION EVENT, MEANING THEY'VE
16 TRANSITIONED ONE OF OUR PROJECTS INTO TRANSLATION
17 WITHIN ONE YEAR OF COMPLETING THE QUEST AWARD, ARE
18 THEN ELIGIBLE TO RECEIVE THIS AWARD TO CONDUCT STEM
19 CELL RESEARCH AS LONG AS IT ALIGNS WITH THE OVERALL
20 CIRM MISSION.

21 AND SO JUST MORE SPECIFICALLY, THE
22 PROGRESSION EVENT, AS WE CALL IT, OCCURS WHEN NEW
23 FUNDS FROM ANY SOURCE HAVE THEN BEEN COMMITTED TO
24 ADVANCE THE RESEARCH PROJECT TO THE NEXT STAGE OF
25 TRANSLATIONAL ACTIVITIES.

BETH C. DRAIN, CA CSR NO. 7152

1 AND SO HOW IT WORKS IS A QUEST AWARDEE WHO
2 REACHES THE END OF THEIR AWARD AND HAS ACHIEVED A
3 PROGRESSION EVENT WILL SUBMIT AN APPLICATION TO US.
4 CIRM ASSESSES WHETHER IT IS ELIGIBLE IN TERMS OF THE
5 ACTIVITIES THAT ARE PROPOSED AND IF THERE IS
6 EVIDENCE THAT SHOWS THAT THEY HAVE ACTUALLY ADVANCED
7 THE PROJECT TO THE DESIRED TRANSLATIONAL ACTIVITIES.
8 SO CIRM ASSESSES THAT. AND IF THEY ARE ELIGIBLE,
9 THEN AN AWARD IS MADE.

10 AND SO THE CURRENT STATISTICS FOR THESE.
11 WE HAVE NOW SEVERAL QUEST AWARDS THAT HAVE REACHED
12 THE POINT OF COMPLETION. SO USUALLY IT'S 24 TO 30
13 MONTHS THAT THEY ARE GIVEN. AND SO THE FIRST
14 COHORTS OF QUEST AWARDS ARE REACHING THEIR END. AND
15 SO WE HAVE NOW RECEIVED TWO APPLICATIONS FOR
16 PROGRESSION AWARDS THAT, IF ELIGIBLE, WOULD REQUIRE
17 APPROXIMATELY 460,000 TO ISSUE A PROGRESSION AWARD.
18 WE ALSO EXPECT THAT WE COULD RECEIVE UP TO SIX
19 ADDITIONAL APPLICATIONS BY MIDYEAR THAT WOULD CALL
20 FOR ANOTHER 1.38 MILLION.

21 AND SO OUR REQUEST IS TO ALLOCATE 1.84
22 MILLION INTO THE PROGRESSION AWARD PROGRAM BUCKET
23 OUT OF THE AVAILABLE 2.28 MILLION IN ORDER TO ALLOW
24 FUNDING FOR UP TO EIGHT ELIGIBLE PROJECTS. WE WILL
25 BE PROVIDING AN UPDATE AT THE NEXT BOARD MEETING IN

BETH C. DRAIN, CA CSR NO. 7152

1 MAY ON THE NUMBER OF APPLICATIONS THAT COME IN AS
2 WELL AS THE STATUS OF RECOVERED FUNDS THAT AT THAT
3 TIME WE EXPECT WILL BE HIGHER AND MAY BE AVAILABLE
4 FOR ADDITIONAL PROJECTS.

5 SO I CAN PAUSE HERE FOR ANY QUESTIONS
6 BEFORE I GO INTO THE SECOND ITEM. MR. JUELSGAARD.

7 MR. JUELSGAARD: YES. I'M TRYING TO
8 SQUARE THE \$150,000 PER PROGRESSION AWARD EVENT WITH
9 THE \$460,000 FOR TWO.

10 DR. SAMBRANO: RIGHT. SO THE ESTIMATE IS
11 ACTUALLY FOR 230,000 PER AWARD TO ALLOW FOR THE
12 FACILITIES AND INDIRECT COST RATE THAT IS ADDED.
13 AND SO THAT'S VARIABLE DEPENDING ON THE INSTITUTION
14 THAT RECEIVES IT.

15 MR. JUELSGAARD: ONE OTHER QUESTION. IS
16 THERE A MINIMUM OF FUND RAISE FOR ELIGIBILITY FOR A
17 PROGRESSION AWARD?

18 DR. SAMBRANO: SO FOR THE COMMITMENT, I
19 BELIEVE WE HAVE A MINIMUM AMOUNT, I THINK. SO WHAT
20 WE LOOK FOR IS EVIDENCE THAT THEY HAVE EITHER
21 RECEIVED A GRANT, THEY HAVE DEVELOPED A PARTNERSHIP
22 WITH SOMEBODY WHERE THERE IS CLARITY THAT THEY'RE
23 GOING TO MOVE AND HAVE STARTED TRANSLATIONAL
24 ACTIVITIES.

25 MR. JUELSGAARD: BUT IS IT SAFE TO ASSUME

BETH C. DRAIN, CA CSR NO. 7152

1 THAT THAT COMMITMENT IS A SUBSTANTIAL COMMITMENT AND
2 NOT JUST \$10,000 IN ADDITIONAL FUNDS?

3 DR. SAMBRANO: SO WE NEED TO KNOW THAT
4 THEY'RE ACTUALLY MOVING IT FORWARD. BUT IN TERMS OF
5 THE SPECIFIC REQUIREMENT, I DON'T THINK BY THE
6 LETTER WE HAVE ANY SPECIFIC MINIMUM STATED.

7 MS. WINOKUR: THESE PROGRESSION AWARDS GO
8 THROUGH THE SAME PROCESS OF APPROVAL?

9 DR. SAMBRANO: SO THE AWARDS ARE PART OF
10 THE QUEST PROGRAM ITSELF. AND SO THE WAY THESE ARE
11 APPROVED ARE BY THE CIRM PRESIDENT. SO THEY GO
12 THROUGH AN ELIGIBILITY REVIEW. THEY DON'T GO
13 THROUGH A SCIENTIFIC REVIEW. THE REVIEW IS
14 BASICALLY FOR ISSUING FUNDS FOR THOSE PROJECTS THAT
15 MEET THE BASIC ELIGIBILITY CRITERIA.

16 MS. WINOKUR: OKAY.

17 MS. DURON: NOT HAVING READ THE RFA, NOT
18 KNOWING WHAT IT REQUIRES IN ORDER TO GET AN AWARD,
19 DOES IT REQUIRE A DISSEMINATION REPORT TO THE PUBLIC
20 ABOUT WHAT THEY'VE DONE, HOW THEY'VE USED THE MONEY,
21 AND WHAT THEY'VE DISCOVERED IN TIME? I ASK THAT
22 BECAUSE, GOING BACK TO THIS PERHAPS ONE OF THE
23 THINGS, IF YOU POSSIBLY REQUEST, IS ASK THEM IN
24 RECEIVING THE AWARD, J.T., THAT THEY DISSEMINATE TO
25 THE PUBLIC IN SOME WAY, SHAPE, OR FORM, HOLD A

BETH C. DRAIN, CA CSR NO. 7152

1 CONFERENCE FOR PEOPLE OF INTEREST IN THEIR
2 COMMUNITY.

3 I THINK THAT PART OF THE PROBLEM, ONCE
4 AGAIN, WITH SILOING AND LOOKING INWARD AT HOW
5 SUCCESSFUL WE ARE, WE FAIL TO LET THE PUBLIC KNOW
6 WHAT'S GOING ON IN A VERY BROAD WAY. I WOULD LOVE
7 TO SEE THEM DISSEMINATE WHAT THEY'VE LEARNED AND HOW
8 IMPORTANT IT IS TO ADVANCE THE MEDICINE IN THIS
9 PARTICULAR ARENA AND WHAT THEY SEE FOR THE FUTURE
10 AND HOW IT IMPACTS THE PUBLIC BECAUSE I THINK THE
11 PUBLIC NEEDS TO UNDERSTAND THIS IN ORDER TO BE ABLE
12 TO SUPPORT AN INITIATIVE WHERE THEY'RE SPENDING
13 THEIR TAXPAYER DOLLARS. CAN YOU ATTACH THAT? CAN
14 YOU MAKE IT PART OF -- IS IT PART OF THEIR
15 UNDERSTANDING ANYWAY?

16 DR. SAMBRANO: SO THAT'S A GREAT QUESTION.
17 AND SO WE DO MAKE AN EFFORT TO ENSURE THAT THE WORK
18 WE FUND AND THE PROGRESS THAT IS MADE IS AVAILABLE
19 TO THE PUBLIC. SO PART OF IT IS THROUGH HAVING A
20 PUBLIC VERSION OF AN ABSTRACT THAT SUMMARIZES THE
21 PROGRESS OVER THE COURSE OF AN AWARD. AND SO THAT
22 IS PART OF OUR WEBSITE. AND SO GRANTEES ARE ASKED
23 TO UPDATE THAT.

24 THE OTHER MECHANISM THAT IS USED IS THAT
25 WE PERIODICALLY TRY TO HAVE MEETINGS OF OUR GRANTEES

BETH C. DRAIN, CA CSR NO. 7152

1 IN ORDER TO HAVE THEM SHARE THAT INFORMATION WITH
2 OTHER SCIENTISTS BUT AS WELL AS MEMBERS OF THE
3 PUBLIC. SO THERE ARE EFFORTS. NOW, WHETHER THAT IS
4 SUFFICIENT AND WHETHER THERE ARE ADDITIONAL THINGS
5 WE CAN DO, I THINK THAT IS CERTAINLY WORTH
6 CONSIDERING.

7 DR. BLUMENTHAL: YOU MENTIONED THE
8 POSSIBILITY OF SIX ADDITIONAL APPLICATIONS FOR THESE
9 AWARDS. HOW FIRM IS THAT EXPECTATION? COULD IT BE
10 LARGER? COULD IT BE A SMALLER NUMBER? AND IF IT'S
11 DIFFERENT THAN SIX, HOW WOULD YOU PROPOSE THAT WE
12 ADDRESS THAT DIFFERENCE?

13 DR. SAMBRANO: RIGHT. SO THIS IS OUR BEST
14 GUESS ESTIMATE BASED ON AWARDS THAT ARE COMING TO
15 COMPLETION AT THAT TIME. SO WE ANTICIPATE THAT
16 ABOUT 50 PERCENT OR MORE WOULD COME IN WITH AN
17 APPLICATION, AND THAT'S WHAT THE ESTIMATE IS BASED
18 ON. THE LIKELIHOOD THAT IT WOULD BE MORE IS
19 PROBABLY PRETTY LOW, BUT THAT IS ALSO WHY I GAVE THE
20 CAVEAT THAT IN MAY WE WILL REPORT TO YOU IN TERMS OF
21 THE NUMBER OF APPLICATIONS THAT ARE ACTUALLY
22 RECEIVED AND IF THERE ARE ADDITIONAL FUNDS THAT
23 MIGHT BE REQUIRED IN ORDER TO MEET THAT THRESHOLD.

24 CHAIRMAN THOMAS: MR. JUELSGAARD.

25 MR. JUELSGAARD: GIL, DO YOU HAVE ANY

BETH C. DRAIN, CA CSR NO. 7152

1 ESTIMATE OF ADDITIONAL UNALLOCATED RECOVERED FUNDS
2 THAT MIGHT BE RECEIVED BETWEEN NOW AND THE MAY
3 MEETING? SO WE ARE AT, WHAT, 2.3 MILLION NOW. DO
4 YOU EXPECT MORE TO COME IN? DO YOU HAVE ANY IDEAS?
5 IF SO, WHAT THAT AMOUNT MIGHT ENTAIL?

6 DR. SAMBRANO: SO I'M GOING TO SEE IF JENN
7 IS WILLING TO GET A NUMBER. BUT I'LL JUST SAY THAT
8 ON AN ONGOING BASIS WE RECEIVE FUNDS. IN THE PAST
9 WE HAVE RECEIVED IN A YEAR SOMEWHERE BETWEEN 20 TO
10 30 MILLION. BUT WHETHER WE ACTUALLY RECEIVE THAT
11 THIS YEAR IS DEPENDENT ON A LOT OF FACTORS. SO IN
12 SOME WAYS IT'S DIFFICULT TO PREDICT; ON THE OTHER
13 HAND, IT IS LIKELY WE WILL RECEIVE MORE.

14 MR. JUELSGAARD: SO ONE FOLLOW-UP QUESTION
15 ON THAT. LET'S ASSUME JUST FOR THE SAKE OF THIS
16 DISCUSSION FOR A MOMENT THAT IN MAY, LET'S SAY WE
17 DON'T ALLOCATE THESE FUNDS FOR ANYTHING, BUT SIMPLY
18 PUT THEM ASIDE, AND IN MAY WE HAVE FIVE OR SIX
19 MILLION OR SOME AMOUNT MORE THAN THAT. WOULD WE BE
20 LOOKING AT SPENDING THE MONEY IN THE SAME WAY THAT
21 WE ARE SPENDING IT NOW? WOULD WE LOOK AT THESE
22 SORTS OF AWARDS, OR WOULD WE LOOK AT CLINICAL
23 DEVELOPMENT OR SOMETHING ELSE?

24 DR. SAMBRANO: RIGHT. SO THAT'S A GREAT
25 QUESTION. SO IF YOUR DESIRE IS TO CONTINUE ISSUING

BETH C. DRAIN, CA CSR NO. 7152

1 PROGRESSION AWARDS, WE WOULD EXPECT THAT BY THE END
2 OF THE YEAR WE WOULD HAVE MAYBE A DOZEN OR MORE
3 APPLICATIONS. SO WE MIGHT BE DOUBLING UP ON THE
4 REQUEST FOR THOSE. IF THERE ARE SUFFICIENT FUNDS,
5 IT REALLY IS UP TO THIS BOARD WHETHER WE SHOULD USE
6 THOSE FOR OUR CLINICAL PROGRAM OR OTHER PROGRAM
7 WHERE IT MIGHT BE APPROPRIATE. BUT AT THE MOMENT WE
8 DON'T HAVE ANY SPECIFIC RECOMMENDATION FOR THOSE
9 ADDITIONAL FUNDS.

10 CHAIRMAN THOMAS: ANY OTHER QUESTIONS OF
11 DR. SAMBRANO? DO WE HAVE A MOTION TO APPROVE?
12 MOVED BY DR. BLUMENTHAL, SECONDED BY SENATOR TORRES.
13 ANY FURTHER DISCUSSION BY MEMBERS OF THE BOARD?

14 DR. DULIEGE: CAN YOU REPEAT EXACTLY THE
15 MOTION?

16 CHAIRMAN THOMAS: MR. HARRISON.

17 MR. HARRISON: TO APPROVE THE ALLOCATION
18 OF 1,840,000 OF RECOVERED FUNDS FOR PROGRESSION
19 AWARDS.

20 DR. DULIEGE: IS IT TO APPROVE THIS
21 SPECIFIC PROJECT OR TO HAVE AN ENVELOPE OF MONEY OF
22 THAT AMOUNT TO FUTURE PROJECTS BASED ON SUBMISSIONS?

23 DR. SAMBRANO: YES. IT'S THE LATTER.

24 DR. DULIEGE: SO WE ARE NOT HAVING TO
25 APPROVE SPECIFIC PROJECTS?

BETH C. DRAIN, CA CSR NO. 7152

1 DR. SAMBRANO: CORRECT.

2 DR. DULIEGE: JUST A CONCEPT.

3 DR. SAMBRANO: CORRECT. SO THE CONCEPT
4 HAS BEEN APPROVED, AND YOU'RE PUTTING MONEY INTO THE
5 BUCKET THAT ALLOWS US TO APPROVE THOSE.

6 DR. DULIEGE: THANK YOU.

7 CHAIRMAN THOMAS: ANY MORE COMMENTS BY
8 MEMBERS OF THE BOARD EITHER HERE OR ON THE PHONE?
9 ANY COMMENTS BY MEMBERS OF THE PUBLIC EITHER HERE OR
10 ON THE PHONE? MR. REED.

11 MR. REED: SOUNDS GREAT, BUT DOES THAT
12 MEAN THERE WILL BE LESS MONEY FOR THE CONFERENCE TO
13 EXPLAIN THE PURPOSE OF CIRM? IS THAT SEPARATE? AND
14 THERE WILL BE SUFFICIENT MONIES FOR THAT BECAUSE
15 THAT'S IMPORTANT?

16 CHAIRMAN THOMAS: THAT'S A SEPARATE ITEM.

17 MR. REED: OKAY. THANK YOU.

18 CHAIRMAN THOMAS: ANY OTHER COMMENTS BY
19 MEMBERS OF THE PUBLIC? HEARING NONE, MARIA, WILL
20 YOU PLEASE CALL THE ROLL.

21 MS. BONNEVILLE: GEORGE BLUMENTHAL.

22 DR. BLUMENTHAL: YES.

23 MS. BONNEVILLE: DEBORAH DEAS.

24 DR. DEAS: YES.

25 MS. BONNEVILLE: ANNE-MARIE DULIEGE.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. DULIEGE: YES.
2 MS. BONNEVILLE: YSABEL DURON.
3 MS. DURON: YES.
4 MS. BONNEVILLE: DAVID HIGGINS.
5 DR. HIGGINS: YES.
6 MS. BONNEVILLE: STEVE JUELSGAARD.
7 MR. JUELSGAARD: YES.
8 MS. BONNEVILLE: DAVE MARTIN.
9 DR. MARTIN: YES.
10 MS. BONNEVILLE: ADRIANA PADILLA.
11 DR. PADILLA: YES.
12 MS. BONNEVILLE: JOE PANETTA.
13 MR. PANETTA: YES.
14 MS. BONNEVILLE: FRANCISCO PRIETO. ROBERT
15 QUINT.
16 DR. QUINT: YES.
17 MS. BONNEVILLE: AL ROWLETT.
18 MR. ROWLETT: YES.
19 MS. BONNEVILLE: JEFF SHEEHY.
20 MR. SHEEHY: YES.
21 MS. BONNEVILLE: JONATHAN THOMAS.
22 CHAIRMAN THOMAS: YES.
23 MS. BONNEVILLE: ART TORRES.
24 MR. TORRES: AYE.
25 MS. BONNEVILLE: CARL WARE.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

DR. WARE: AYE.
MS. BONNEVILLE: DIANNE WINOKUR.
MS. WINOKUR: YES.
MS. BONNEVILLE: MOTION CARRIES.
CHAIRMAN THOMAS: THANK YOU, DR. SAMBRANO.

ON TO THE SECOND ITEM.

DR. SAMBRANO: OKAY. THANK YOU, MR. CHAIRMAN. SO THE SECOND ITEM IS A PROPOSED CIRM GRANTEE MEETING. AND SO WHAT WE ARE PROPOSING HERE IS TO USE OUR EXISTING CONFERENCE GRANT MECHANISM TO SOLICIT APPLICATIONS TO ORGANIZE AND EXECUTE A MEETING OF GRANTEES SOMETIME LATER THIS YEAR. THE MEETING WOULD INVITE, OF COURSE, CIRM GRANTEES, MEMBERS OF THE GOVERNING BOARD, PATIENT ADVOCATES, INTERESTED FUNDING ORGANIZATIONS, AND OTHER STAKEHOLDERS, AS WELL AS THE PUBLIC TO DISCUSS AND HEAR ABOUT ADVANCES IN PROGRESS IN THE FIELD OF STEM CELL RESEARCH IN THE STATE.

AND SO WE SUMMARIZE THE MEETING GOALS WITH THE FOLLOWING FOUR BULLETS. ONE, TO PROVIDE A PUBLIC FORUM TO LEARN ABOUT THE MOST RECENT ADVANCES IN STEM CELL RESEARCH, TO ENCOURAGE THE SHARING OF INFORMATION AND DATA AMONG CIRM GRANTEES AND FOSTER COLLABORATION AND LEARNING, TO HAVE TIMELY PRESENTATIONS THAT ADDRESS AND OVERCOME KEY

BETH C. DRAIN, CA CSR NO. 7152

1 BOTTLENECKS. AND THAT'S IMPORTANT ESPECIALLY TO
2 PROJECTS THAT WE ARE CURRENTLY FUNDING THAT MAY BE
3 MEETING CHALLENGES. AND SO HELP WITH THAT IS ALWAYS
4 WELCOME. AND THEN SHOWCASING PROMISING STEM
5 CELL-BASED PROJECTS FOR POSSIBLE PARTNERSHIP
6 OPPORTUNITIES WITH INVESTIGATORS, FUNDERS, AND OTHER
7 COMPANIES.

8 SO THESE ALIGN VERY MUCH WITH THE GRANTEE
9 MEETINGS THAT WE HAVE HAD IN PAST YEARS BETWEEN 2008
10 AND 2013 WHERE WE BROUGHT OUR GRANTEES TOGETHER TO
11 SHOW US AND OTHER SCIENTISTS AND THE PUBLIC MANY OF
12 THESE ADVANCES. AND IT WAS SOMETHING THAT WAS VERY
13 WELL RECEIVED. WE HAVE NOT HAD ONE SINCE THAT TIME,
14 BUT WE CERTAINLY THOUGHT THAT, GIVEN THAT WE'VE
15 REACHED A POINT WHERE WE NOW ARE CULMINATING ALL OF
16 THE PROJECTS AND ADVANCES, THAT IT MIGHT BE A GOOD
17 TIME TO DO THAT.

18 SO THE FORMAT WOULD BE A TWO-DAY MEETING
19 THAT WOULD INCLUDE SCIENTIFIC PRESENTATIONS BY
20 NOTABLE KEY SPEAKERS INCLUDING CIRM INVESTIGATORS.
21 WE ESTIMATE APPROXIMATELY THREE TO 400 ATTENDEES AND
22 THAT THIS WOULD BE HELD SOMETIME IN THE LATTER HALF
23 OF 2020, LIKELY IN THE FALL. AND THE COST ESTIMATE,
24 BASED ON PREVIOUS MEETINGS OF A SIMILAR TYPE, IS
25 ABOUT 250,000 THAT WE WOULD CONTRIBUTE TO THIS.

BETH C. DRAIN, CA CSR NO. 7152

1 AND SO OUR REQUEST IS FOR AN ALLOCATION OF
2 THAT AMOUNT INTO THE CONFERENCE GRANT PROGRAM SO
3 THAT WE MAY ISSUE AN RFA AND NOW ALLOW FUNDING OF A
4 GRANT THAT COULD REALIZE THIS MEETING.

5 CHAIRMAN THOMAS: DR. DULIEGE.

6 DR. DULIEGE: I THINK IT'S A TERRIFIC IDEA
7 TO RESUME SUCH MEETINGS. THERE'S NOTHING LIKE
8 BRAINSTORMING CONFERENCES. AND I JUST HOPE THAT
9 ICOC MEMBERS MIGHT BE ALLOWED TO ATTEND AS WELL.

10 DR. SAMBRANO: YES, ABSOLUTELY.

11 MS. DURON: DO PATIENT ADVOCATES OR
12 PATIENT FAMILIES AND PUBLIC ADVOCATES GET TO HELP
13 PLAN THE CONFERENCE? AND IS THERE A SEPARATE TRACK
14 FOR THE PUBLIC WHO -- SO THAT THERE'S OPPORTUNITIES
15 TO HEAR IN ENGLISH WHAT THIS IS ALL ABOUT?
16 SOMETIMES THE SCIENCE IS WAY ABOVE THEM. I JUST
17 THINK YOU COULD EITHER TRACK IT, BUT IT HELPS TO
18 SERVE THE PUBLIC AS WELL AS THE SCIENTISTS.

19 I SIT THROUGH A LOT OF SCIENTIFIC
20 PRESENTATIONS, AND I PROBABLY GET A SMIDGEON, BUT
21 IT'S ENOUGH FOR ME TO GO BACK AND TALK TO PEOPLE.
22 BUT I THINK THE PATIENT FAMILIES ALSO NEED TO SHOW
23 THEIR SIDE OF THE ISSUE AND ITS IMPACT AND WHAT IT
24 MEANS TO THEM. AND THIS RESONATES MORE WITH THE
25 PUBLIC, I THINK, THAN A SCIENTIFIC PRESENTATION. SO

BETH C. DRAIN, CA CSR NO. 7152

1 CAN YOU PLAN TWO TRACKS, OR INSERT PATIENT FAMILIES
2 AS PART OF THE PRESENTATION AND NOT JUST FOCUS ON
3 THE SCIENCE PER SE?

4 DR. SAMBRANO: YES, WE CAN. SO IT
5 IS -- THE SPECIFIC DETAILS OF THE MEETING ITSELF
6 HAVE NOT BEEN YET DEVELOPED. SO THE GOAL IS TO HAVE
7 AN AWARD THAT WOULD ALLOW SOMEBODY TO TAKE ON THE
8 LOGISTICS OF PUTTING THIS TOGETHER AND THEN BRING
9 IDEAS FROM CIRM, FROM THE BOARD AS WELL, TO INCLUDE
10 ALL OF US IN HELPING DEVELOP WHAT THE MEETING WOULD
11 LOOK LIKE.

12 CHAIRMAN THOMAS: DR. MILLAN AND DR.
13 HIGGINS.

14 DR. MILLAN: JUST TO ADD A LITTLE BIT MORE
15 TO THAT. WE ENVISION THAT ONE OF THE REQUIREMENTS
16 WILL BE THAT THE PRESENTATIONS, THAT THE
17 REQUIREMENTS OF THE SCIENTIFIC PRESENTATIONS WILL BE
18 GEARED TOWARD THE PUBLIC. SO THAT IT WILL BE
19 DISSEMINATION OF SCIENTIFIC KNOWLEDGE, BUT IN A WAY
20 THAT WOULD BE DIGESTIBLE BY THE PUBLIC. AND I THINK
21 THAT THAT SERVES TWO PURPOSES.

22 ONE IS THAT SCIENTISTS HAVE FOUND IT
23 HELPFUL TO LEARN THE SKILLS OF BEING ABLE TO SPEAK
24 IN THAT WAY BECAUSE THEY GAIN MORE TRACTION ON THEIR
25 RESEARCH AND THEY DON'T BORE PEOPLE AT PARTIES. BUT

BETH C. DRAIN, CA CSR NO. 7152

1 SECONDLY, ON THE OTHER SIDE, FOR SURE WE HAVE SOME
2 AMAZING PATIENTS AND ADVOCATES WHO ATTEND THESE
3 MEETINGS TIRELESSLY. AND WE REALLY WANT TO MAKE
4 SURE IT'S WORTH THEIR WHILE.

5 AND THE SECOND POINT I WANT TO MAKE IS WE
6 DO HAVE A FORUM THAT WE HAVE EVERY YEAR. IT'S
7 CALLED THE ALPHA STEM CELL CLINICS SYMPOSIUM THAT'S
8 HOSTED BY ONE OF THE ALPHA CLINICS EVERY YEAR. AND
9 IN THAT IT'S COMPLETELY EMBEDDED THAT THE PATIENT
10 TRACK IS EMBEDDED WITHIN EACH OF THE PANELS. SO IF
11 THERE'S A TOPIC, WHAT HAPPENS IS WE EVALUATE IT FROM
12 THE SCIENTIFIC, THE HEALTHCARE, THE CLINICAL
13 PROVIDER, AS WELL AS THE PATIENT AND POLICY ISSUES.
14 AND SO THAT IS SOMETHING THAT'S A MODEL THAT WE'VE
15 BEEN FOLLOWING FOR THE ALPHA CLINICS SYMPOSIUM. AND
16 THE NEXT ONE IS IN MAY UP IN SACRAMENTO.

17 CHAIRMAN THOMAS: I'D JUST LIKE TO PUT OUT
18 A SHOUT OUT TO THESE ALPHA CLINIC MEETINGS. THEY'RE
19 ABSOLUTELY OUTSTANDING. AND IF BOARD MEMBERS HAVE
20 SOME TIME TO ATTEND, IT IS REALLY, REALLY
21 INTERESTING, AND YOU GET A GREAT FEEL FOR WHERE THE
22 SCIENCE IS, A VIEW FROM THE PATIENT COMMUNITY, ET
23 CETERA. THEY'RE JUST GREAT. AND WE HISTORICALLY
24 HAVE NOT HAD A LOT OF BOARD MEMBERS THERE, SO WE'LL
25 MAKE A REAL EFFORT TO MAKE SURE EVERYBODY KNOWS

BETH C. DRAIN, CA CSR NO. 7152

1 EXACTLY WHEN IT IS. AND THOSE THAT CAN MAKE IT,
2 PLEASE DO. IT'S REALLY WORTHWHILE.

3 DR. HIGGINS.

4 DR. HIGGINS: COUPLE OF QUICK QUESTIONS.
5 IS THIS, YOU SAID, FOR THE FALL, PLANNED FOR THE
6 FALL? IS IT BEFORE NOVEMBER 3D?

7 DR. SAMBRANO: WE AREN'T SPECIFYING ONE
8 WAY OR THE OTHER. SO IT WILL BE UP TO, MOST LIKELY,
9 THE AVAILABILITY OF THE VENUE AND WHERE IT CAN BE
10 HOSTED.

11 DR. HIGGINS: OKAY. AND THE SECOND
12 QUESTION COMES OFF OF YSABEL'S COMMENTS ABOUT
13 INVOLVING PATIENTS AND THE PUBLIC, WHICH I THINK IS
14 A FANTASTIC IDEA. BUT IT'S REALLY A QUESTION FOR
15 HER. DO YOU THINK THERE WOULD BE ENOUGH OF AN
16 INTEREST AND A NEED TO HAVE A PARALLEL TRACK IN
17 SPANISH?

18 MS. DURON: I THINK THAT EVEN IF YOU WERE
19 ABLE TO AT LEAST PROVIDE ONE IN SPANISH, THAT MIGHT
20 BE OF BROAD INTEREST. LIKE, WHAT IS STEM CELL? AND
21 THEN HAVE SOME FAMILIES WHO HAVE ACTUALLY BEEN
22 IMPACTED BY IT TO TALK ABOUT IT. THEN I THINK THAT
23 THERE'S AN OPPORTUNITY. I DON'T KNOW THAT I'D
24 SATURATE IT. I DO BILINGUAL CONFERENCES ALL THE
25 TIME BECAUSE I WORK WITH COMMUNITY HEALTH WORKERS AS

BETH C. DRAIN, CA CSR NO. 7152

1 WELL AS WITH THE SCIENTISTS. SO I LIKE THAT
2 COLLABORATION. BUT I THINK THAT EVEN IF YOU DID
3 ONE, WHAT THAT SAYS TO A LARGE SPANISH SPEAKING
4 COMMUNITY IN CALIFORNIA IS THAT WE ARE INCLUDED.
5 THIS IS ALSO ABOUT US. AND SO I THINK AT LEAST ONE
6 WOULD BE VERY LOVELY. THANK YOU FOR THINKING ABOUT
7 IT.

8 MR. TORRES: UNDER THE OTHER HAT, PRO BONO
9 HAT, I WEAR AS WELL, WHICH IS THE ONE LEGACY
10 FOUNDATION, THE ORGAN TRANSPLANT FOUNDATION, WE'VE
11 CONTINUALLY DONE ENGLISH AND SPANISH, DONE MORE
12 REMEMBRANCES WHERE FAMILIES COME TOGETHER. AND IT'S
13 VERY POIGNANT AND VERY INSPIRATIONAL BECAUSE PARENTS
14 WILL LISTEN TO A RECIPIENT'S HEART AND SAY MY SON IS
15 STILL ALIVE. AND THOSE FAMILIES COMING TOGETHER
16 HAVE BEEN VERY, VERY IMPORTANT IN TERMS OF OUTREACH
17 BECAUSE IT WAS VERY DIFFICULT TO EDUCATE
18 AFRICAN-AMERICAN AND LATINO FAMILIES TO THINK ABOUT
19 ORGAN DONATION, BUT IT WAS BECAUSE OF THOSE
20 BILINGUAL CONFERENCES THERE WAS AN INCREASE IN ORGAN
21 DONATION FROM THE LATINO COMMUNITY, AT LEAST IN
22 SOUTHERN CALIFORNIA. SO, YEAH, IT'S VERY IMPORTANT.

23 MS. WINOKUR: THE PACKARD FOUNDATION FOR
24 ALS RESEARCH AT JOHNS HOPKINS DOES THE SAME KIND OF
25 THING TWICE A YEAR WHEN ALL OF THEIR GRANTEES MAKE A

BETH C. DRAIN, CA CSR NO. 7152

1 PRESENTATION ABOUT THEIR RESEARCH, WHAT PROGRESS
2 THEY'VE MADE, WHAT STATE IT'S AT. AND THERE IS THEN
3 CONVERSATION THAT GOES ON BETWEEN GRANTEES AND THE
4 GRANTEES SITTING IN THE ROOM AND OTHERS ABOUT THAT
5 RESEARCH. AND IT HAS BEEN VERY EFFECTIVE AND
6 HELPFUL AND POSITIVE.

7 CHAIRMAN THOMAS: THANK YOU, DIANE. DR.
8 YAMAMOTO.

9 DR. YAMAMOTO: THE CHALLENGE HERE IT SEEMS
10 IS THAT THERE ARE TWO, MAYBE EVEN MORE THAN TWO,
11 COMPLETELY JUSTIFIED TARGET AUDIENCES. THE
12 SCIENTISTS AND THE PUBLIC, MAYBE COMPANIES AS WELL,
13 THAT WOULD BE INTERESTED OR ASPIRE TO DO WORK IN
14 THIS AREA. AND I REALLY SUPPORT THE IDEA OF HAVING
15 A MEETING THAT SPEAKS TO ALL OF THOSE AUDIENCES, BUT
16 I DO THINK THERE NEEDS TO BE -- I LIKE THE SEPARATE
17 TRACK IDEA THAT YSABEL HAS PUT FORWARD. IT'S REALLY
18 IMPORTANT THAT THE SCIENCE BE PRESENTED AT A HIGH
19 LEVEL SO THAT OTHERS CAN REALLY, OTHER SCIENTISTS,
20 AND, AS I SAID, ASPIRING COMPANIES, COULD THINK
21 ABOUT HOW THEIR WORK INTERRELATES, HOW
22 COLLABORATIONS COULD ADVANCE THE WORK BEYOND THAT OF
23 EITHER THE GRANTEE THAT'S TALKING OR THE ASPIRANTS.

24 AND THAT REALLY NEEDS TO BE AT A HIGH
25 LEVEL WHERE WE REALLY GET INTO THE DETAILS OF THE

BETH C. DRAIN, CA CSR NO. 7152

1 SCIENCE AND THE DATA. THAT RUNS A RISK, OF COURSE,
2 OF AT LEAST BORING, IF NOT JUST GOING OVER THE
3 HEADS, OF THE PUBLIC IN A WAY THAT REALLY SHOULDN'T
4 HAPPEN. I THINK THERE'S A REALLY JUSTIFIED AND
5 ESSENTIAL ELEMENT OF BEING ABLE TO CONVEY THE
6 INTERESTING AND EXCITING ASPECTS OF THE WORK IN A
7 WAY THAT THE PUBLIC CAN UNDERSTAND. BUT IF IT'S
8 ONLY PRESENTED AT THAT LEVEL, THEN THE RISK IS THAT
9 THE SCIENTISTS WON'T BE ABLE TO GRASP THE DETAILS
10 SUFFICIENTLY TO BE ABLE TO EXTEND THEIR THINKING TO
11 WHAT COULD HAPPEN.

12 SO I THINK PRESENTING ALL THIS INFORMATION
13 IN ONE MEETING, I THINK, IS PROBLEMATIC; BUT IF
14 WE'RE GOING TO TRY TO ACHIEVE THOSE GOALS OF REALLY
15 BEING ABLE TO EFFECTIVELY COMMUNICATE TO THESE
16 DIFFERENT TARGET AUDIENCES, I THINK IT NEEDS TO BE
17 DONE AT LEAST IN SEPARATE TRACKS, IF NOT IN SEPARATE
18 MEETINGS.

19 CHAIRMAN THOMAS: THANK YOU FOR THAT
20 COMMENT. I WOULD ALSO SAY THAT ANOTHER TARGET
21 AUDIENCE, AS FURTHER TO DR. PATEL'S COMMENTS AND THE
22 EXCELLENT WORK HE'S DOING IN DEVELOPING
23 COLLABORATIONS WITH OUR GRANTEES AND MONEY SOURCES,
24 THAT THIS WOULD BE SOMETHING THAT WE WOULD WANT TO
25 INVITE VENTURE FIRMS TO AND PHARMA, ET CETERA, SO

BETH C. DRAIN, CA CSR NO. 7152

1 THEY CAN SEE WHAT'S GOING ON BECAUSE A LOT OF THEM
2 DON'T KNOW THE FULL EXTENT OF WHAT THE PORTFOLIO IS
3 OR THE STATE OF THE SCIENCE.

4 SO I THINK THERE ARE A LOT OF RICH TARGET
5 AUDIENCES FOR THIS THING, AND THE CHALLENGE WILL BE
6 TO PULL IT TOGETHER IN A WAY THAT SPEAKS TO ALL OF
7 THEM.

8 SO DO I HEAR A MOTION TO APPROVE? MOVED
9 BY DR. BURTIS. WHO WAS THE SECOND THERE?

10 MS. WINOKUR: DIANE.

11 CHAIRMAN THOMAS: THANK YOU. SECONDED BY
12 MS. WINOKUR. ANY FURTHER DISCUSSION BY MEMBERS OF
13 THE BOARD?

14 UNIDENTIFIED SPEAKER: ON THE DIVERSITY OF
15 DISSEMINATING THIS INFORMATION, FOR RURAL AND REMOTE
16 AREAS, IF THERE CAN BE SOME SORT OF A SCALED DOWN,
17 SHORTER VERSION SO PEOPLE CAN PARTICIPATE BECAUSE
18 TRAVEL TO THESE CONFERENCES CAN BE SOMEWHAT ONEROUS.
19 THAT WOULD BE REALLY HELPFUL.

20 CHAIRMAN THOMAS: THANK YOU FOR THAT
21 SUGGESTION. ANY OTHER COMMENTS BY MEMBERS OF THE
22 BOARD? ANY COMMENTS BY MEMBERS OF THE PUBLIC?
23 HEARING NONE, MARIA, WILL YOU PLEASE CALL THE ROLL.

24 MS. BONNEVILLE: GEORGE BLUMENTHAL.

25 DR. BLUMENTHAL: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. BONNEVILLE: LINDA BOXER.
2 DR. BOXER: YES.
3 MS. BONNEVILLE: KEN BURTIS.
4 DR. BURTIS: YES.
5 MS. BONNEVILLE: DEBORAH DEAS.
6 DR. DEAS: YES.
7 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
8 DR. DULIEGE: YES.
9 MS. BONNEVILLE: YSABEL DURON.
10 MS. DURON: YES.
11 MS. BONNEVILLE: JUDY GASSON.
12 DR. GASSON: YES.
13 MS. BONNEVILLE: DAVID HIGGINS.
14 DR. HIGGINS: YES.
15 MS. BONNEVILLE: STEVE JUELSGAARD.
16 MR. JUELSGAARD: YES.
17 MS. BONNEVILLE: LINDA MALKAS.
18 DR. MALKAS: YES.
19 MS. BONNEVILLE: DAVE MARTIN.
20 DR. MARTIN: YES.
21 MS. BONNEVILLE: LEON FINE.
22 DR. FINE: YES.
23 MS. BONNEVILLE: ADRIANA PADILLA.
24 DR. PADILLA: YES.
25 MS. BONNEVILLE: JOE PANETTA.

BETH C. DRAIN, CA CSR NO. 7152

1 MR. PANETTA: YES.
2 MS. BONNEVILLE: FRANCISCO PRIETO.
3 DR. PRIETO: AYE.
4 MS. BONNEVILLE: ROBERT QUINT.
5 DR. QUINT: YES.
6 MS. BONNEVILLE: AL ROWLETT.
7 MR. ROWLETT: YES.
8 MS. BONNEVILLE: SUZANNE SANDMEYER.
9 DR. SANDMEYER: YES.
10 MS. BONNEVILLE: JEFF SHEEHY.
11 SUPERVISOR SHEEHY: YES.
12 MS. BONNEVILLE: OS STEWARD. JONATHAN
13 THOMAS.
14 CHAIRMAN THOMAS: YES.
15 MS. BONNEVILLE: ART TORRES.
16 MR. TORRES: AYE.
17 MS. BONNEVILLE: CARL WARE.
18 DR. WARE: AYE.
19 MS. BONNEVILLE: DIANE WINOKUR.
20 MS. WINOKUR: YES.
21 MS. BONNEVILLE: KEITH YAMAMOTO.
22 DR. YAMAMOTO: YES.
23 MS. BONNEVILLE: DOUG ZIEDONIS.
24 DR. ZIEDONIS: YES.
25 MS. BONNEVILLE: AND OS, ARE YOU THERE?

BETH C. DRAIN, CA CSR NO. 7152

1 MOTION CARRIES.

2 CHAIRMAN THOMAS: THANK YOU. THANK YOU,
3 DR. SAMBRANO. LET'S TAKE A TEN-MINUTE BREAK AT THIS
4 POINT. WE'VE GOT REFRESHMENTS IN THE KITCHEN, AND
5 WE WILL RECONVENE SHORTLY. THANK YOU.

6 DR. STEWARD: MARIA, ARE YOU STILL ON?

7 MS. BONNEVILLE: YES.

8 DR. STEWARD: THIS IS OS. I HAVE BEEN
9 HERE, I AM HERE, BUT APPARENTLY TECHNOLOGICALLY
10 INCOMPETENT TODAY. I FINALLY GOT MY PHONE OFF MUTE.

11 MS. BONNEVILLE: THOSE THINGS HAPPEN. SO
12 WAS THAT A YES FOR YOU?

13 DR. STEWARD: THAT WAS A YES.

14 (A RECESS WAS TAKEN.)

15 CHAIRMAN THOMAS: EVERYBODY PLEASE TAKE
16 YOUR SEATS. THOSE ON THE PHONE, WE ARE ABOUT TO
17 RECONVENE. THANK YOU VERY MUCH.

18 OKAY. NOW WE ARE ON TO THE PRESIDENT'S
19 REPORT, WHICH IS MULTIFACETED AND COMPREHENSIVE, ALL
20 FURTHER TO OUR STATED PURPOSE OF REPORTING BACK TO
21 THE PUBLIC AT EACH OF THE BOARD MEETINGS. SO
22 WITHOUT FURTHER ADO, TURN IT OVER TO DR. MILLAN.

23 DR. MILLAN: THANK YOU VERY MUCH. AND
24 GOOD MORNING, CIRM BOARD, MEMBERS OF THE PUBLIC.
25 THE CIRM TEAM AND I THANK YOU FOR THIS OPPORTUNITY

BETH C. DRAIN, CA CSR NO. 7152

1 TO PROVIDE AN UPDATE AND A LOOK BACK. AND I HAVE TO
2 SAY WE ARE VERY EXCITED ABOUT WHERE OUR PROGRAMS ARE
3 AT THIS TIME. FOR THOSE OF YOU WHO HAVE NOT HEARD
4 SOME OF THESE, ABOUT SOME OF THESE PROGRAMS, FEEL
5 FREE TO ASK QUESTIONS ABOUT THEM. BUT I WILL BE
6 GIVING A VERY BROAD OVERVIEW.

7 I WILL START THE PRESENTATION BY GIVING AN
8 UPDATE ON OUR CLINICAL PROGRAMS. I'LL BE FOLLOWED
9 BY PAUL WEBB, WHO MANAGES OUR CLINICAL ADVISORY
10 PANEL, TO GIVE AN UPDATE ON THE HOW-TOS. HOW DO WE
11 HELP THESE PROGRAMS ACHIEVE THEIR GOALS, WHICH WILL
12 THEN BE FOLLOWED BY KENT FITZGERALD WHO IS THE
13 DIRECTOR OF DISCOVERY AND TRANSLATION, WHO WILL
14 DESCRIBE OUR TRANSLATIONAL PROGRAM. AND THEN
15 FOLLOWED BY KELLY SHEPARD, ASSOCIATE DIRECTOR FOR
16 DISCOVERY, WHO WILL THEN GIVE YOU AN UPDATE ON A
17 VERY EXCITING PROGRAM, OUR EDUCATION PROGRAM.

18 JUST FOR A BROAD OVERVIEW OF CIRM
19 INVESTMENTS SINCE ITS FORMATION IN 2004. \$2.7
20 BILLION IN AWARDS OF OVER A THOUSAND AWARDS HAVE
21 BEEN SO FAR ALLOCATED. THE LARGEST HAS GONE INTO
22 BASIC AND DISCOVERY RESEARCH OF 900 MILLION.
23 ESPECIALLY OVER THE PAST THREE TO FOUR YEARS, WE
24 HAVE HAD AN INCREASING INVESTMENT IN CLINICAL STAGE
25 PROGRAMS. IT'S NOW UP TO 744 MILLION, AND THE

BETH C. DRAIN, CA CSR NO. 7152

1 AVAILABLE BUDGET IS 27 MILLION FOR SICKLE CELL
2 PROGRAMS.

3 THE TRANSLATIONAL PORTFOLIO HAS ALSO BEEN
4 GROWING, AND RIGHT NOW WE HAVE QUITE A FEW JUST
5 GETTING STARTED. AND IT'S A VERY UNIQUE ASPECT OF
6 CIRM TO FUND TRANSLATIONAL PROGRAMS; THAT IS, TAKING
7 THE DISCOVERY, GETTING IT PREPARED SO THAT IT CAN BE
8 TESTED IN THE CLINIC. AND THEN WE HAVE 480 MILLION
9 IN INFRASTRUCTURE. THAT'S BOTH BUILDING
10 INFRASTRUCTURE AS WAS INVESTED EARLY ON AND
11 PROGRAMMATIC INFRASTRUCTURE, SUCH AS THE ALPHA
12 CLINICS NETWORK, AND ALL OF THE DIFFERENT PROGRAMS
13 TO ASSIST OUR PROGRAMS IN ACHIEVING THEIR GOALS AND
14 MAKING IT THROUGH THE DEVELOPMENT PATH. AND 220
15 MILLION IN EDUCATION PROGRAMS, AND YOU WILL HEAR A
16 LITTLE BIT MORE ABOUT THAT LATER.

17 SO AS CHAIRMAN THOMAS HAD STATED EARLIER,
18 WE CAN NOW HAVE A LOOK BACK ON HOW WE ARE DOING
19 AGAINST OUR FIVE-YEAR STRATEGIC PLAN, WHICH WAS
20 LAUNCHED BY MY PREDECESSOR RANDY MILLS, AND AT THAT
21 TIME WITH THIS TEAM WE SET EXTREMELY BOLD GOALS, AND
22 ONE COULD SAY THEY WERE STRETCH GOALS. AND WE
23 CENTERED THEM AROUND THREE BIG SIX GOALS. AND I'M
24 JUST GOING TO GO THROUGH THE PROGRESS OF WHERE WE
25 ARE TODAY. WE ARE JUST ENTERING YEAR FIVE OF THIS

BETH C. DRAIN, CA CSR NO. 7152

1 FIVE-YEAR STRATEGIC PLAN.
2 BY CATEGORY, WE AIM TO BRING IN 50 NEW
3 PROGRAMS INTO OUR PIPELINE OF DEVELOPMENT
4 CANDIDATES, AND WE'VE ACHIEVED 45. AND THAT'S WHERE
5 IT'S GOING TO HOLD BECAUSE WE, AT THIS TIME AT
6 LEAST, DON'T HAVE ANY ADDITIONAL FUNDS FOR
7 TRANSLATIONAL. OF COURSE, THERE COULD BE CLINICAL
8 PROGRAMS THAT STILL COUNT TOWARD THAT, BUT THAT IS A
9 VERY, I THINK, IMPRESSIVE PROGRESS TO THE GOAL.

10 ADVANCE IS WE PUT REFINEMENTS AND
11 ACCELERATION PROGRAMS IN PLACE OPERATIONALLY IN CIRM
12 TO INCREASE THE PROBABILITY OF PROGRAMS EXCEEDING
13 AND MEETING THEIR MILESTONES, MEETING THEIR
14 PROGRESS, AND THEN THAT'S REFLECTED BY HOW THEY CAN
15 MOVE FROM ONE STAGE OF RESEARCH TO THE NEXT, FROM
16 DISCOVERY, TRANSLATIONAL; FROM TRANSLATIONAL TO
17 CLINICAL.

18 WE'VE DOUBLED THOSE TYPES OF PROGRESSIONS
19 SINCE WE HAVE LAUNCHED THIS STRATEGIC PLAN, AND WE
20 HAVE NOW CLOCKED IN 72 PROGRESSION EVENTS.

21 IN TERMS OF REFINING THE REGULATORY
22 PARADIGM, WE TOUCHED ON THIS DURING THE EARLIER
23 DISCUSSION ABOUT HOW THE REGULATORY PATHWAY FOR
24 REGENERATIVE MEDICINE IS NOW STARTING TO MATURE.
25 AND CIRM HAS BEEN A VERY BIG PLAYER IN THIS WHOLE

BETH C. DRAIN, CA CSR NO. 7152

1 THING. IN FACT, I MENTIONED THAT THE 21ST CENTURY
2 CURES ACT, WHICH WAS PASSED IN DECEMBER 2016,
3 CREATED A NEW PATHWAY CALLED THE REGENERATIVE
4 MEDICINE ADVANCED THERAPIES PATHWAY FOR A VERY
5 INTERACTIVE AND COLLABORATIVE RELATIONSHIP WITH THE
6 FDA AND FEATURES THAT WOULD ACCELERATE DEVELOPMENT.

7 THE CIRM PROGRAMS WERE AMONG THE FIRST TO
8 ACHIEVE RMAT. 129 RMAT APPLICATIONS HAVE BEEN
9 PRESENTED TO THE FDA. 47 RMATS HAVE BEEN APPROVED
10 BY THE FDA, AND OF THOSE, SIX ARE CIRM. SO ALMOST
11 13 PERCENT OF RMATS THAT HAVE BEEN GIVEN OUT BY THE
12 FDA ARE CIRM PROGRAMS. SO THAT'S QUITE REMARKABLE.
13 IT'S NOT JUST THE NUMBERS THAT MATTER. IT'S THAT
14 OPPORTUNITIES FOR ADVANCING THE REGULATORY SCIENCE
15 TO ACCOMPANY THE SCIENCE OF THESE TRANSFORMATIVE
16 TREATMENTS.

17 THE NEXT GOAL WAS TO SHORTEN THE TIME TO
18 CLINICAL TESTING, TO CUT IT IN HALF. AND AS WE DID
19 WITH THOSE REFINEMENTS THAT WE INTRODUCED ALONG WITH
20 THE STRATEGIC PLAN, WE THEREFORE DESIGNED AN
21 APPLICATION PROCESS READINESS CRITERIA AND REVIEW
22 CRITERIA THAT MADE SURE THAT THE APPLICANTS COULD
23 ACHIEVE ACTIVITIES WITHIN A GIVEN TIME FRAME.

24 SO WE SET TRANSLATIONAL PROGRAMS TO A
25 30-MONTH TIME PERIOD, AND CLIN1, WHICH ARE

BETH C. DRAIN, CA CSR NO. 7152

1 IND-ENABLING, WHICH ARE THE STUDIES THAT ARE
2 NECESSARY TO GET THE FDA TO APPROVE YOU GOING TO
3 CLINICAL TRIALS, TO 18 MONTHS. AND ABOUT HALF THE
4 PROGRAMS SO FAR ARE ACHIEVING IT. 55 PERCENT OF
5 CLINIS HAVE ACHIEVED THEIR IND IN LESS THAN 18
6 MONTHS, BUT ACTUALLY IT'S VERY IMPRESSIVE THAT 72
7 PERCENT ACTUALLY HAVE ACHIEVED THIS WITHIN TWO
8 YEARS. AND THOSE WHO HAVE BEEN IN DRUG DEVELOPMENT
9 CAN APPRECIATE THAT THAT IS VERY RAPID.

10 THE FIFTH OF THE BIG SIX IS TO EXPAND OUR
11 CLINICAL PORTFOLIO. YOU MAY RECALL WHEN WE STARTED
12 THE STRATEGIC PLAN, CIRM HAD FUNDED ABOUT 17
13 PROGRAMS. TO DATE WE HAVE NOW FUNDED 60 PROGRAMS.
14 WE'VE ADDED 43 NEW CLINICAL PROGRAMS TO OUR
15 PORTFOLIO, AND SOME OF WHICH I'LL BE DESCRIBING IN A
16 LITTLE BIT MORE DETAIL TODAY.

17 THAT'S REMARKABLE, AND WE ACTUALLY ARE NOT
18 DONE WITH THIS GOAL BECAUSE WE DO HAVE A SET ASIDE
19 FOR CURE SICKLE CELL, THE CURE SICKLE CELL
20 INITIATIVE, IN PARTNERSHIP WITH THE NHLBI. SO WE
21 EXPECT SOME TO COME IN VIA THAT PROGRAM
22 ANNOUNCEMENT.

23 AND THEN A SURROGATE MARKER FOR THE
24 MATURATION OF THE FIELD THAT J.T. DID A NICE
25 EXTENSIVE REVIEW AT THE BEGINNING OF THIS MEETING IN

BETH C. DRAIN, CA CSR NO. 7152

1 TERMS OF THE INDUSTRY PULL REALLY INCREASING
2 INVESTMENTS GOING INTO THIS. CIRM PROGRAMS HAVE
3 BROUGHT IN \$3.4 BILLION IN INVESTMENTS INTO OUR
4 PROGRAMS, 1.2 BILLION IN 2018 AND 1.4 BILLION JUST
5 LAST YEAR. THIS INCREASES THE LEVERAGE ON THE 2.7
6 BILLION THAT WE'VE ALLOCATED, THAT \$4.9 BILLION HAVE
7 COME IN AS AN AGGREGATE FROM CO-FUNDING, OTHER
8 SOURCES OF FUNDING, AS WELL AS INDUSTRY INVESTMENT.
9 SO BY ALL MEASURES WE ARE EITHER ON TARGET OR
10 EXCEEDING TARGETS FOR THE FIVE-YEAR STRATEGIC PLAN,
11 AND OVER 50 PERCENT OF OUR PROGRAMS NOW HAVE
12 INDUSTRY PARTNERSHIPS.

13 SO NOW FOR THE CLINICAL UPDATE. WE HAVE
14 THE GREAT PROBLEM OF HAVING SO MANY CLINICAL
15 PROGRAMS THAT TODAY WE'LL FOCUS ON TWO CATEGORIES.
16 ONE ARE THOSE THAT ARE IN PIVOTAL PHASE OR NEAR
17 PIVOTAL PHASE, WHICH MEANS CLOSE TO BEING APPROVED
18 BY THE FDA TO BE MORE WIDELY AVAILABLE THROUGH A
19 MARKETING APPROVAL, AND THE OTHER CATEGORY PROGRAMS
20 WHERE WE HAVE SOME INTERIM DATA THAT'S BEEN
21 REPORTED. AND THEN I'LL TOUCH UPON SOME OF THE NEW
22 PROGRAMS THAT JUST RECEIVED THEIR IND, PERMISSION TO
23 GO TO CLINICAL STAGE.

24 SO FOR THE FIRST GROUP OF PROJECTS, I'VE
25 LISTED HERE PROGRAMS THAT ARE IN THE PIVOTAL PHASE.

1 CLASSICALLY PIVOTAL PROGRAMS ARE IN PHASE 3.
2 CLASSICALLY THEY'RE VERY LARGE, USUALLY RANDOMIZED
3 CONTROLLED STUDIES. AND ONE OF THE THINGS THAT YOU
4 WILL START TO SEE WITH REGENERATIVE MEDICINE AND THE
5 MODERNIZATION OF THE FDA APPROACH TO REGENERATIVE
6 MEDICINE TRIALS THAT TARGET RARE AND SMALL
7 POPULATIONS IS THAT THE TRIALS ARE SMALLER BECAUSE
8 THEY EXPECT THE EFFECT SIZE TO BE LARGER.

9 AND ANOTHER TREND IS THAT THE FDA IS
10 BECOMING MORE RECEPTIVE TO WHAT'S CALLED ADAPTIVE
11 TRIAL DESIGN. SO AS A TRIAL IS GOING ALONG, THEY
12 CAN BE PRESENTED WITH CLINICAL DATA THAT MAY SUPPORT
13 A CHANGE IN THE PROTOCOL THAT ALLOWS THE
14 INVESTIGATORS TO SEE THE TRUE EFFECT. AND THAT
15 REALLY IS WELL-SUITED FOR SMALL TRIALS.

16 AND ANOTHER THING WITH REGENERATIVE
17 MEDICINE TRIALS IS A MECHANISM OF ACTION IS REALLY
18 BASED ON BIOLOGY, SO IT ALLOWS ONE TO DO THAT. AND
19 I'LL DESCRIBE EXAMPLES OF THAT IN A LITTLE BIT.

20 WE ALSO HAVE TWO POTENTIAL
21 REGISTRATION-ENABLING TRIALS. THIS SPEAKS TO THE
22 CONCEPT OF ADAPTIVE TRIAL DESIGN AND MODERNIZATION
23 WHERE EVEN PHASE 1 TRIALS ARE NOW SPEAKING TO THE
24 FDA AND HAVING AN IDEA THAT THE DATA THEY'RE GETTING
25 FROM PHASE 1 TRIALS, WHICH IN THE PAST WERE JUST

1 CONSIDERED SAFETY TRIALS, NOW THERE'S MORE AND MORE
2 OF A TREND TOWARD DESIGNING THE CLINICAL TRIAL SO
3 THEY CAN ACTUALLY GET EFFICACY DATA BENEFIT OR EARLY
4 SIGNS OF CLINICAL BENEFIT THAT CAN SUPPORT
5 REGISTRATION. SO THAT, AGAIN, IS A POTENTIAL WAY OF
6 ACCELERATING DEVELOPMENT AND GETTING THESE TO
7 PATIENTS.

8 SO THE FIRST EXAMPLE OF A PIVOTAL TRIAL IS
9 THE ADA-SCID TRIAL THAT J.T. ALSO MENTIONED. IT
10 ORIGINATED FROM UCLA WITH DON KOHN. IT'S A CELL
11 GENE THERAPY TRIAL WHERE THE, IN THIS CASE,
12 ADA-SCID, ALSO CALLED BUBBLE BABY DISEASE, IS A
13 CONDITION WHERE BABIES ARE BORN WITHOUT IMMUNE
14 SYSTEMS BECAUSE OF A MISSING ENZYME CALLED ADENOSINE
15 DEAMINASE ADA. AND THIS APPROACH TAKES THE
16 CHILDREN'S OWN BLOOD STEM CELLS THAT'S RETRIEVED
17 THROUGH KIND OF A PHORESIS, JUST COLLECTING BLOOD,
18 SELECTING OUT THE BLOOD STEM CELLS. THEY'RE
19 ENGINEERED TO NOW EXPRESS ADA, AND THESE BLOOD STEM
20 CELLS ARE GIVEN BACK TO THE AFFECTED CHILDREN WHERE
21 THEY HOME INTO THE BONE MARROW, THE PLACE WHERE THEY
22 GIVE RISE TO ALL THE BLOOD CELLS OF THE BODY
23 INCLUDING THE IMMUNE CELLS.

24 I WAS TALKING ABOUT EFFECT SIZE BEING VERY
25 LARGE FOR THIS. THIS IS A PRIME EXAMPLE OF THIS

BETH C. DRAIN, CA CSR NO. 7152

1 BECAUSE, IF THIS WORKS, NO. 1, YOU COULD DETECT IF
2 THE ENZYME IS NOW THERE. SO THAT WASN'T THERE
3 BEFORE. AND, TWO, YOU COULD ACTUALLY MEASURE THE
4 IMMUNE CELLS THAT ARE NOW CIRCULATING IN THE BLOOD
5 THROUGH A BLOOD TEST SO THAT IT'S RETRIEVABLE.
6 BECAUSE OF THIS, YOU WILL NOTE THAT THIS IS A PHASE
7 2 REGISTRATION TRIAL WITH A SMALL NUMBER OF
8 PATIENTS, 20 PATIENTS. AND THE INTERIM DATA IS THAT
9 THERE'S BEEN A HUNDRED PERCENT EVENT FREE SURVIVAL
10 AT TWO YEARS AND 20 PATIENTS. AND THEN THERE'S
11 ACTUALLY BEEN DATA ON MORE PATIENTS THAT HAVE GONE
12 OUT EVEN LONGER, FIVE OR SIX YEARS, SUCH AS EVIE WHO
13 YOU SEE IN THIS PICTURE, WHERE THEY STILL HAVE THEIR
14 FULL IMMUNE SYSTEM AND HAVE A DURABLE EFFECT. SO
15 THIS IS AN EXAMPLE OF CURATIVE THERAPY.

16 THIS PARTICULAR PROGRAM IS UP FOR A BLA.
17 IT'S A ROLLING BLA, I BELIEVE. AND SO WE EXPECT TO
18 HEAR THE FDA FINAL AWARD ON THAT THIS YEAR.

19 WE HAVE HEARD ABOUT THE RECENT PARTNERING
20 HIGHLIGHTS THAT HAS ALLOWED THIS PROGRAM TO GO THIS
21 FAR, INCLUDING AN IPO AND FOLLOW-ON PUBLIC
22 OFFERINGS.

23 THE NEXT PIVOTAL TRIAL IS IN ALS OR LOU
24 GEHRIG'S DISEASE WHERE THERE'S A DESTRUCTION OF
25 MOTOR NEURONS THAT LEADS TO PROGRESSIVE LOSS OF

BETH C. DRAIN, CA CSR NO. 7152

1 MUSCLE FUNCTION, AND IT IS A FATAL CONDITION AND IS
2 VERY DEBILITATING ALONG THE WAY.

3 THIS TRIAL IS A PHASE 3 RANDOMIZED PLACEBO
4 CONTROLLED MULTICENTER STUDY. THIS WAS APPROVED BY
5 OUR CIRM BOARD AFTER GWG APPROVAL BASED ON EARLIER
6 TRIALS, MULTICENTER TRIALS, DATA THAT SUGGESTED
7 CLINICAL EFFECT.

8 SO THE PRODUCT HERE ARE MESENCHYMAL STEM
9 CELLS THAT ARE INDUCED TO EXPRESS OR SECRETE GROWTH
10 FACTORS AND IMMUNE MODULATORS THAT, ONCE IMPLANTED
11 INTO THE SPINAL FLUID, COULD THEN IMPACT THE NEURONS
12 TO PROTECT THEM FROM DESTRUCTION THAT LEADS TO THEIR
13 DEMISE IN ALS.

14 THEY HAVE ENROLLED THEIR LAST PATIENT OF A
15 196, AND THE TOP-LINE DATA IS EXPECTED. THEY'RE IN
16 ANALYSIS AND FOLLOW-UP RIGHT NOW, AND WE EXPECT DATA
17 FROM THIS TRIAL BY THE END OF THIS YEAR.

18 I PRESENTED THIS TO THIS BOARD IN THE
19 PAST. THIS IS A SLIGHTLY DIFFERENT TYPE OF PRODUCT.
20 THIS IS A DECELLULARIZED PRODUCT THAT IS BIOLOGICAL
21 STILL. IT'S IMPLANTED INTO THE HOST AND GETS SEEDED
22 WITH THE HOST'S OWN BLOOD STEM CELLS THAT AFTER A
23 BIT REMODEL AND LOOK JUST LIKE A NATIVE BLOOD
24 VESSEL. IT'S QUITE REMARKABLE.

25 SO THE FIRST INDICATION THAT THIS COMPANY,

BETH C. DRAIN, CA CSR NO. 7152

1 HUMACYTE, HAS APPLIED THIS TO IS FOR AV FISTULAS
2 FOR -- A REPLACEMENT FOR AV FISTULAS FOR KIDNEY
3 FAILURE. SO THIS IS A LIVE LINE. DIALYSIS IS THE
4 LIFELINE FOR PATIENTS EITHER AWAITING TRANSPLANT OR
5 WHO ARE CANDIDATES FOR TRANSPLANT, AND DIALYSIS
6 ACCESS IS A HUGE PROBLEM. USUALLY YOU TRY WITH
7 NATIVE VESSELS. THEY EVENTUALLY FAIL. YOU RUN OUT
8 OF VESSELS. YOU GO TO THE SYNTHETIC GRAFT LIKE
9 PTFE. THOSE HAVE ALL SORTS OF POTENTIAL
10 COMPLICATIONS WITH IT.

11 SO IN THIS TRIAL THERE ARE TWO PHASE 3
12 RANDOMIZED COMPARISON TRIALS THAT THIS COMPANY IS
13 DOING TO COMPARE IT TO THOSE TWO DIFFERENT TYPES OF
14 AV ACCESS. AND THEY'RE COMPLETING ANALYSES IN THE
15 FIRST OF THOSE TRIALS AND COMPLETING ENROLLMENT IN
16 THE SECOND AND THEIR ONGOING EFFORTS WITH THE FDA
17 DURING ENROLLING BLA SUBMISSION. THEY ALREADY HAVE
18 A PARTNERSHIP WITH ONE OF THE LARGEST GLOBAL
19 DIALYSIS ACCESS COMPANIES IN TERMS OF AN EQUITY
20 INVESTMENT AND FUTURE GLOBAL MARKETING AND SALES,
21 AGAIN SPEAKING TO THE PROMISE OF THIS TECHNOLOGY.

22 I'M GOING TO PAUSE THERE BECAUSE I'M KIND
23 OF ROLLING THROUGH EVERYTHING. DOES ANYBODY HAVE
24 ANY QUESTIONS RIGHT NOW ABOUT THE TECHNOLOGY SO FAR?
25 OKAY.

BETH C. DRAIN, CA CSR NO. 7152

1 SO NOW SHIFTING GEARS TO ANOTHER TYPE OF
2 TRIAL THAT CIRM UNIQUELY FUNDS, AND THIS WAS
3 ACTUALLY A TRIAL THAT WE PARTNERED WITH THE NIH IN
4 THAT THEY INITIALLY DID SOME OF THE STUDIES AND THEN
5 IT WENT TO CIRM AS IT WAS PROGRESSING IN THE
6 ACADEMIC SETTING. AND THIS IS A COMPANY THAT'S
7 RELATED TO THAT TRIAL.

8 SO THIS TRIAL SEEKS TO INDUCE IMMUNE
9 TOLERANCE TO A TRANSPLANTED ORGAN BY DOING A
10 COMBINED BLOOD STEM CELL AND ORGAN TRANSPLANT. THE
11 IDEA IS BASED ON THE CLASSIC IMMUNOLOGIC PHENOMENON
12 OF IMMUNE TOLERANCE WHERE DOING THIS, GETTING THE
13 HEMATOPOIETIC STEM CELLS OF THE SAME DONOR TYPE AS
14 THE KIDNEY ALLOWS THE HOST TO VIEW THE KIDNEY AS
15 ITSELF AND DOESN'T REJECT IT.

16 AND SO THIS TRIAL IS ONGOING. IT'S A
17 PHASE 3 REGISTRATION TRIAL WITH 30 HLA-MATCHED
18 LIVING DONOR TRANSPLANTS. THE ENROLLMENT IS
19 ONGOING. WE EXPECT THAT THEY'LL BE REPORTING THEIR
20 RESULTS IN AN ACADEMIC SOCIETY SOMETIME MIDYEAR.
21 AND I'LL COME BACK WITH AN UPDATE ON THAT.

22 THIS TRIAL THAT'S BEING -- THAT CIRM HAS
23 FUNDED THAT'S BEING CARRIED OUT BY ROCKET PHARMA IS
24 AN EXAMPLE OF THE KIND OF UNIQUE ASPECT OF THESE
25 CELL AND GENE THERAPY TRIALS. IT'S A GENE THERAPY

BETH C. DRAIN, CA CSR NO. 7152

1 FOR A FATAL PEDIATRIC CONDITION CALLED LEUKOCYTE
2 ADHESION DEFICIENCY 1. ESSENTIALLY THE BLOOD CELLS
3 DON'T WORK THE WAY THEY SHOULD TO AVOID INFECTION
4 AND PROTECT THE KIDNEYS. AND SO IT'S FATAL.

5 WHAT'S REMARKABLE ABOUT THIS TRIAL, IT'S A
6 PHASE 1/2 TRIAL, BUT IT'S DESIGNED SO THAT IT'S
7 REGISTRATION ENABLING, MEANING THAT EVEN WITH A
8 SMALL NUMBER OF PATIENTS, NINE PATIENTS, THAT
9 POSITIVE DATA WITH THIS, THE IDEA IS THAT THE
10 COMPANY HOPES THAT THIS IS ENOUGH TO GO TO THE FDA
11 AND THAT THE EFFECT IS SOMETHING THAT COULD SUPPORT
12 GOING STRAIGHT TO REGISTRATION EITHER MAYBE BY
13 ADDING MORE PATIENTS BUT ACCELERATING THE
14 DEVELOPMENT OF THIS VERY NEEDED THERAPY FOR A HUGE
15 UNMET FATAL CONDITION IN A SMALL NUMBER OF PATIENTS.

16 THIS HAS BEEN ABLE TO, IN ADDITION TO CIRM
17 FUNDING, RAISE MONEY IN THE PUBLIC MARKET AS WELL AS
18 LICENSE OTHER GENE THERAPY PROGRAMS FROM THE CIRM
19 PORTFOLIO.

20 I WANTED TO SAY THAT WE'RE GOING THROUGH
21 THESE, AND IT SEEMS LIKE A LOT AND IT IS A LOT.
22 THIS IS QUITE REMARKABLE AT THIS PHASE, THAT OUR
23 PROGRAMS HAVE GOTTEN TO THIS MATURATION. YOU'VE
24 HEARD DR. MARK CHAO FROM FORTY SEVEN INC., WHICH IS
25 A SPIN-OUT FROM STANFORD FROM DR. IRV WEISSMAN'S

BETH C. DRAIN, CA CSR NO. 7152

1 TECHNOLOGY, AT THIS BOARD MEETING PRESENT ON THE
2 PROGRESS AND THE PART OF THEIR PROGRAM AND THE
3 PARTNERSHIP WITH CIRM ON THIS.

4 SO THIS IS AN UPDATE ON THEIR PHASE 1B
5 TRIAL OF A COMBINATION THERAPY OF THEIR PRODUCT,
6 WHICH IS AN ANTIBODY, TO CD 47 AND CHEMOTHERAPY.
7 THE IDEA BEHIND THIS COMBINATION IS THE ANTIBODY
8 THAT BLOCKS CD 47 ESSENTIALLY UNMASKS THE TUMOR TO
9 SOMETHING THAT IT DOES TO AVOID IMMUNE DESTRUCTION.
10 IN THIS CASE, BY COMBINING IT WITH CHEMOTHERAPY, IT
11 RENDERS THOSE CANCER STEM CELLS MORE SUSCEPTIBLE TO
12 IMMUNE DESTRUCTION.

13 IT'S AN ONGOING PHASE 1B TRIAL, AND THE
14 REPORT FROM THE COMPANY IS THAT THEY HAVE REASON TO
15 BELIEVE THAT IT'S POTENTIALLY REGISTRATION ENABLING
16 ALREADY. IN ADDITION, THEY'RE ALSO LAUNCHING A
17 PHASE 3 TRIAL WITH SOME REFINEMENTS TO THE PROTOCOL.
18 IT HAS FAST-TRACKED AN ORPHAN DRUG DESIGNATION.
19 THIS COMPANY WENT PUBLIC, AS YOU HEARD, IN 2018 AND
20 HAS SUCCESSFULLY RAISED MONEY IN THE PUBLIC MARKET
21 AND IS CREATING STRATEGIC PARTNERSHIPS FOR NEXT
22 GENERATION APPROACHES TO THERAPY.

23 OKAY. SO THAT'S IT FOR PIVOTAL. I THINK
24 THAT, EVEN IN THE COURSE OF A YEAR, THIS IS THE TYPE
25 OF PRESENTATION WE WOULDN'T HAVE BEEN ABLE TO

BETH C. DRAIN, CA CSR NO. 7152

1 PROVIDE THE BOARD BECAUSE IT JUST SPEAKS TO KIND OF
2 THE PACE BY WHICH THESE PROGRAMS ARE PROGRESSING.

3 AND I WANT TO SAY, I WANT TO ACKNOWLEDGE,
4 WHICH I SHOULD HAVE DONE IN THE BEGINNING, THE
5 THERAPEUTICS TEAM AND THE SCIENCE OFFICERS WHO
6 WORKED TIRELESSLY WITH EACH OF THESE PROGRAMS AS IF
7 THEY WERE THEIR OWN TO MAKE SURE THEY STAY ON TRACK
8 AND GET ALL THE HELP THEY NEED ALONG THE WAY.

9 SO TO JUST CONTINUE, I'LL BE PRESENTING
10 SOME INTERIM CLINICAL DATA FROM SOME OTHER CLINICAL
11 PROGRAMS THAT WE HAVE IN OUR CIRM PORTFOLIO.

12 YOU MAY HAVE HEARD OF THE CAR-T TECHNOLOGY
13 WHICH HAS TAKEN THE WORLD BY STORM. BUT I WANTED TO
14 JUST DESCRIBE THAT FOR THOSE WHO MAY NOT BE AS
15 FAMILIAR. THIS IS A REVOLUTIONARY NEW APPROACH TO
16 CANCER THERAPY WHICH USES THE BODY'S OWN IMMUNE
17 CELLS, WHICH IS THE NORMAL HEALTHY STATE, CIRCULATES
18 AROUND AND DESTROYS ANY KIND OF ABERRANT CELLS THAT
19 MAY EXIST IN OUR BODIES. BUT FOR ONE REASON OR
20 ANOTHER, A TUMOR CANCER WOULD EVADE THESE T-CELLS,
21 OR THE T-CELLS THEN BECOME KIND OF INCAPACITATED TO
22 DESTROY THOSE CANCER CELLS.

23 SO THE CAR-T TECHNOLOGY IS A TECHNOLOGY
24 WHERE T-CELLS FROM THE PATIENTS, THE IMMUNE CELLS,
25 THESE CANCER DESTROYING CELLS, ARE EXTRACTED, THEN

BETH C. DRAIN, CA CSR NO. 7152

1 ARE ENGINEERED TO EXPRESS WHAT WE CALL A
2 SEEK-AND-DESTROY RECEPTOR, CHIMERIC ANTIGEN
3 RECEPTORS, SO THAT THEY CAN SPECIFICALLY HOME TO THE
4 CANCER. THEY CAN RECOGNIZE THESE CANCERS AND THEN
5 INITIATE DESTRUCTION OF THOSE CANCERS. AND IT SEEMS
6 KIND OF LIKE SCIENCE FICTION IF I DESCRIBE IT THAT
7 WAY, BUT THE AMAZING THING ABOUT IT IS THE INITIAL
8 RESULTS FOR THIS WITH LEUKEMIA AND THEN WITH
9 LYMPHOMA WITH PATIENTS WHO WERE RESISTANT TO ALL
10 CHEMOTHERAPY. ESSENTIALLY THEY WERE GIVEN SIX
11 MONTHS BECAUSE THEY HAD NO OTHER REGIMEN. SO IT WAS
12 REALLY SALVAGE THERAPY. BUT THEY WERE GETTING 70 TO
13 80 PERCENT COMPLETE RESPONSE RATE. AND THEN YOU SAW
14 FROM THE SLIDE THAT J.T. HAD SHOWN SOME LONGER TERM,
15 TWO YEARS, OF ABOUT 60, 70 PERCENT RESPONSE
16 DEPENDING ON THE DIFFERENT BLOOD CANCERS. SO IT'S A
17 REMARKABLE TECHNOLOGY. AND THEN, OF COURSE, THE
18 FIELD HAS EXPLODED WITH MANY DIFFERENT COMPANIES
19 TARGETING THIS APPROACH.

20 BUT CIRM HAS BEEN FUNDING NEXT GENERATION
21 APPROACHES, AND POSEIDA THERAPEUTICS IS ONE OF THESE
22 WHERE THIS TECHNOLOGY ENRICHES FOR T-STEM CELL
23 MEMORY CELLS BECAUSE WHEN THESE CAR-TS EVENTUALLY
24 FAILED, IT COULD BE BECAUSE THE T-CELLS CAN GET
25 EXHAUSTED OR THEY'RE KIND OF GONE. AND THESE T-CELL

BETH C. DRAIN, CA CSR NO. 7152

1 STEM CELL MEMORY CELLS ARE KIND OF LIKE A RESERVE
2 ARMY THAT ARE STICKING AROUND. AND THEN ONCE
3 THERE'S EITHER A RESIDUAL TUMOR OR SOMETHING THAT
4 STARTS TO BLOSSOM AGAIN, THEY ESSENTIALLY RAMP UP,
5 EXPAND, AND THEN CAN CONTACT THOSE. THAT'S THE IDEA
6 BEHIND IT, AND THAT'S BEING TESTED.

7 IN ADDITION, THESE CAR-T CELLS BEING
8 DEVELOPED BY POSEIDA ARE ENGINEERED USING NONVIRAL
9 VECTORS. SO OTHER TYPES OF CAR-T CELLS USE A VIRAL,
10 A NAKED VECTOR, OR SOMETHING THAT'S INCAPACITATED,
11 BUT STILL USE A VECTOR TO BE ABLE TO DELIVER THE
12 GENE IN THERE TO EXPRESS THESE SEEK AND DESTROY.
13 AND THEY USE A NONVIRAL METHOD AS WELL AS ENCODE
14 WHAT'S CALLED A SAFETY SWITCH. SO THEY ENCODE IN,
15 WHEN THEY ENGINEER THESE CELLS, SOMETHING SO THAT
16 THEY CAN BE GIVEN A PILL TO TURN THEM OFF IF NEEDED.

17 SO THAT TRIAL IS ONGOING. THE PHASE 1
18 TRIAL HAS BEEN COMPLETED AND HAS ACHIEVED CLINICAL
19 DATA TO SUPPORT A PHASE 2 REGISTRATION TRIAL THAT'S
20 BEING INITIATED NOW. THE COMPANY HAS BEEN ABLE TO
21 SUCCESSFULLY RAISE IN THE PUBLIC MARKET AS WELL AS
22 GET A SERIES C INVESTMENT LED BY NOVARTIS JUST LAST
23 YEAR.

24 AND THEN VIACYTE, WHICH THIS BOARD IS VERY
25 FAMILIAR WITH BECAUSE THIS COMPANY HAS BEEN FUNDED

BETH C. DRAIN, CA CSR NO. 7152

1 BY CIRM FROM THE VERY BEGINNING IN MULTIPLE
2 CAPACITIES TO DEVELOP A CELL REPLACEMENT APPROACH
3 FOR TYPE 1 DIABETES. AND, AGAIN, UNIQUELY CIRM IS
4 THAT THESE ARE EMBRYONIC STEM CELL DERIVED, AND THE
5 COMPANY NOW HAS CLINICAL DATA TO REPORT. THEY
6 REPORTED THAT IN EIGHT PATIENTS IMPLANTED WITH THESE
7 EMBRYONIC STEM CELL-DERIVED PANCREATIC PROGENITOR
8 CELLS IN A CASSETTE THAT'S LIKE A THIN LITTLE CREDIT
9 CARD, THEY WERE ABLE TO DETECT IN EIGHT PATIENTS
10 EVIDENCE OF BIOLOGIC ACTIVITY. THEY WERE ABLE TO
11 DETECT C-PEPTIDE WHICH IS A FRAGMENT OF NOVEL
12 INSULIN PRODUCTION AS WELL AS STAIN FOR INSULIN IN
13 EXPLANTS OR MINI BIOPSIES THAT THEY IMPLANT AT THE
14 SAME TIME.

15 THEY'VE ALSO HAD PARTNERING WITH W. L.
16 GORE, WHICH IS A DEVICE AND MATERIALS COMPANY, TO
17 IMPROVE ON THIS AS WELL AS WITH CRISPR TO ENGINEER
18 THESE CELLS SO THAT THEY COULD EVADE IMMUNE ATTACK.
19 AND SO THAT IS SOMETHING THAT IS NEW FROM THIS YEAR
20 BECAUSE UP UNTIL NOW WE HAVE NOT HAD CLINICAL DATA.

21 THE NEXT TRIAL, WHICH ALSO HAS AN RMAT
22 DESIGNATION THAT I SPOKE OF EARLIER, IS FOR ANOTHER
23 FORM OF SCID, ANOTHER FORM OF BUBBLE BABY DISEASE
24 CALLED X-LINKED SCID. IT'S A COLLABORATION BETWEEN
25 ST. JUDE HOSPITAL AND UCSF. AND THIS TRIAL USES

BETH C. DRAIN, CA CSR NO. 7152

1 VIRAL DELIVERY OF THE MISSING IL 2 RECEPTOR GAMMA
2 CHAIN. THAT'S WHAT'S MISSING AND LEADING TO THAT
3 IMMUNE DEFICIENCY IN THESE CHILDREN.

4 THEY'VE REPORTED ON EIGHT PATIENTS AT
5 16-MONTH FOLLOW-UP WHO NOW HAVE NORMAL T-CELL AND NK
6 CELL; WHEREAS, THEY DID NOT HAVE THAT PRIOR TO
7 TREATMENT BECAUSE THAT WAS THE BASIS OF THEIR
8 DISEASE. AND THAT THESE CELLS AND THEIR BLOOD CELLS
9 RECONSTITUTED WITHIN FOUR MONTHS AFTER TRANSPLANT.
10 THIS HAS BEEN RECENTLY PARTNERED WITH ADDITIONAL
11 INVESTMENTS BY A COMPANY, MUSTANG BIO.

12 AND THEN ARE THERE ANY QUESTIONS AT THIS
13 POINT? OKAY.

14 THE NEXT TRIAL IS A TRIAL WITH JCYTE,
15 WHICH WAS A COMPANY THAT WAS SPUN OUT OF UC IRVINE.
16 DR. HENRY KLASSEN IS STILL THE PI WHO HAD DISCOVERED
17 THIS TECHNOLOGY. IT'S A CLINICAL STUDY FOR
18 RETINITIS PIGMENTOSA WHICH IS A BLINDING EYE
19 DISEASE. AND THE COMPANY HAS NOW COMPLETED ITS
20 PHASE 2B TRIAL. AND THAT'S SUPPORTING THE NEXT
21 PHASE OF DEVELOPMENT. AND THIS IS A VERY
22 INTERACTIVE KIND OF CLINICAL DEVELOPMENT DESIGN
23 ALONG WITH THE FDA AND WITH OUR CIRM TEAM BEING VERY
24 INVOLVED IN THAT.

25 SO THE PHASE 1/2A TRIAL SHOWED FAVORABLE

BETH C. DRAIN, CA CSR NO. 7152

1 SAFETY PROFILE AND INDICATIONS OF BIOLOGIC ACTIVITY.
2 AND THE PHASE 2B DATA IS EXPECTED IN THE SECOND HALF
3 OF THIS YEAR.

4 TO TELL YOU THE TRUTH, I DON'T KNOW HOW
5 MUCH LONGER THIS IS. WE HAVE MAYBE THREE MORE
6 PROGRAMS. THIS IS A LOT. AGAIN, IF WE THINK BACK
7 TO WHEN I JOINED CIRM, WE WERE THINKING HOW MANY
8 CLINICAL TRIALS CAN WE ACTUALLY INITIATE. AND SO TO
9 HAVE CLINICAL DATA ON THESE TRIALS, I JUST WANT TO
10 REEMPHASIZE IS AMAZING.

11 THIS IS A TRIAL THAT HAS BEEN BROUGHT TO
12 THIS BOARD RECENTLY BY DR. JUDY SHIZURU FROM
13 STANFORD. THIS TRIAL IS USING A MONOCLONAL ANTIBODY
14 TO CREATE A NEW WAY OF WHAT'S CALLED MAKING ROOM.
15 SO WHEN YOU DO A BONE MARROW TRANSPLANT OR EVEN AN
16 ENGINEERED BLOOD STEM CELL TRANSPLANT, YOU NEED TO
17 MAKE ROOM IN THE BONE MARROW. OTHERWISE, IT GETS
18 CROWDED OUT BY THE PATIENT'S OWN OTHER CELLS. AND
19 TO DO THAT, OFTEN YOU NEED TO USE CHEMOTHERAPY TO
20 KIND OF KNOCK DOWN THE OTHER CELLS OR EVEN
21 RADIATION, BUT THAT'S VERY TOXIC. AND SO DR.
22 SHIZURU AND HER TEAM ARE DEVELOPING A WAY BY
23 TARGETING C-KIT, WHICH IS A BLOOD STEM CELL MARKER,
24 TO KIND OF KNOCK OUT THE PATIENT'S OWN STEM CELLS SO
25 THAT IT MAKES ROOM FOR THE NEW STEM CELLS THAT ARE

BETH C. DRAIN, CA CSR NO. 7152

1 SUPPOSED TO BE THERAPEUTIC.

2 AND THEY HAVE SOME POSITIVE INTERIM
3 RESULTS AT SIX MONTHS, THAT FOUR OF SIX PATIENTS
4 REACHED THE ENDPOINT OF CHIMERISM. CHIMERISM MEANS
5 THAT THEY COULD SEE THAT THE PATIENT WAS ABLE TO
6 ENGRAFT WITH THE NEW CELLS THAT THEY WERE
7 TRANSPLANTED WITH. AND THIS IS A FIRST
8 DEMONSTRATION OF ENGRAFTMENT USING THIS TYPE OF
9 PROTOCOL THAT AVOIDS CHEMOTHERAPY AND RADIATION
10 ALTOGETHER.

11 OTHERS ARE WATCHING THIS, OTHER OF OUR
12 PROGRAMS WHO ARE DEVELOPING GENE-ENGINEERED BLOOD
13 STEM CELLS AND OTHER BONE MARROW PROTOCOLS BECAUSE
14 THEY WOULD LOVE TO BE ABLE TO GET RID OF THE
15 CHEMOTHERAPY BECAUSE THESE PATIENTS, THEY MAY HAVE
16 BENEFIT ON THE OTHER SIDE, BUT THEN THEY HAVE TO
17 DEAL WITH ALL THE COMPLICATIONS AND EVERYTHING ELSE
18 OF A TOXIC REGIMEN.

19 I THINK ONE OF THE THINGS THAT IS NEW IS
20 THAT THIS PROGRAM WAS RECENTLY SPUN OUT OF STANFORD
21 AND PARTNERED WITH JASPER THERAPEUTICS WHICH
22 LICENSES THE TECHNOLOGY. AND THERE WAS A \$50
23 MILLION SUCCESSFUL SERIES A LED BY ROCHE VENTURE AS
24 WELL AS OTHER PROMINENT INVESTORS. SO THAT IS
25 SOMETHING I KNOW THAT THE BOARD WAS LOOKING AT WHEN

BETH C. DRAIN, CA CSR NO. 7152

1 WE APPROVED THIS PROGRAM FOR FUNDING.

2 THE NEXT TRIAL IS ANOTHER IMMUNE
3 TOLERANCE. THIS IS KIND OF THE ACADEMIC GENESIS OF
4 THE MEDEOR TRIAL, BUT THIS IS WITH A SLIGHTLY
5 DIFFERENT PROTOCOL BY DR. SAM STROBER AT STANFORD
6 USING COMBINED KIDNEY AND HEMATOPOIETIC BLOOD STEM
7 CELL TRANSPLANT. AND THEY HAVE DEMONSTRATED
8 PERSISTENT MIXED CHIMERISM, MEANING THAT THEY SHOWED
9 THAT IN THAT APPROACH THAT I MENTIONED WHERE YOU
10 TAKE THE BLOOD STEM CELL FROM THE DONOR OF THE
11 KIDNEY, TRANSPLANT BOTH AT THE SAME TIME SO THAT
12 THAT BLOOD STEM CELL ALLOWS THE HOST TO THINK THAT
13 THE KIDNEY IS THEIR OWN.

14 SO THEY'VE SHOWN THAT THESE BLOOD STEM
15 CELLS THAT ENABLE THIS TOLERANCE ARE PERSISTENT AND
16 ARE FLOATING AROUND SAYING THAT'S YOUR KIDNEY. SO
17 THAT IS SOMETHING THAT THEY'VE DEMONSTRATED IN
18 PATIENTS WITHOUT REJECTION AFTER WITHDRAWAL OF
19 IMMUNOSUPPRESSION. THAT WAS JUST RECENTLY PUBLISHED
20 IN JANUARY.

21 AND THEN ANOTHER CELL GENE THERAPY, AND BY
22 THE WAY, CHAIRMAN THOMAS DID ASSURE ME THAT YOU
23 WANTED THIS VERY FULL UPDATE. SO THIS IS ANOTHER
24 PROGRAM WHICH IS A CELL GENE THERAPY FOR X-LINKED
25 CGD. YOU'VE HEARD ABOUT BRANDON BEFORE, BUT NOW

BETH C. DRAIN, CA CSR NO. 7152

1 THERE ARE FIVE OTHER PATIENTS. THERE'S SIX PATIENTS
2 WITH SUSTAINED LEVEL OF CORRECTED CELLS. THIS HAS
3 BEEN PUBLISHED IN *NATURE MEDICINE* JUST IN JANUARY OF
4 THIS YEAR, VERY PROMINENT JOURNAL. AND, AGAIN, IT'S
5 BEING CARRIED OUT BY ORCHARD, THE SAME COMPANY
6 THAT'S DEVELOPING THE ADA-SCID PROGRAM.

7 STILL GOING. ONCTERNAL, WHICH IS A
8 THERAPY THAT WAS DEVELOPED FOR TARGETING LEUKEMIA
9 AND LYMPHOMA, OUT OF UCSD IS SHOWING INITIAL
10 FAVORABLE OUTCOME, AND NOW THE INTERIM PHASE 1
11 RESULTS HAVE SUPPORTED A PHASE 2 PROGRAM. SO THAT'S
12 ENROLLING. SO, AGAIN, SIGNS OF PROGRESS.

13 AND WE'VE FUNDED SANGAMO FOR A GENE-EDITED
14 CELL THERAPY FOR BETA THALASSEMIA WHICH IS A FORM
15 ANEMIA. THEY'VE HAD PRELIMINARY RESULTS FROM THREE
16 PATIENTS SHOWING PROMPT RECONSTITUTION, MEANING THAT
17 AFTER THE CONDITIONING THAT THEY WERE ABLE TO
18 RECONSTITUTE OR HAVE RECOVERY OF THEIR BLOOD SYSTEM
19 WITH NOW EDITED CELLS THAT ARE THE THERAPEUTIC CELLS
20 CIRCULATING, AND EVIDENCE OF WHAT THEIR THERAPEUTIC
21 DOES IS EXPRESSION OF HEMOGLOBIN F, FETAL
22 HEMOGLOBIN, TO REVERSE THE DISEASE. SO THEY HAVE
23 DEMONSTRATED THAT THEIR GENE THERAPY IS WORKING.
24 LONGER-TERM FOLLOW-UP IS ONGOING TO DEMONSTRATE
25 WHETHER THIS IS DURABLE AND WHETHER IT IMPACTS ALL

1 THE CLINICAL OUTCOMES.

2 ALL RIGHT. THAT'S IT FOR THE CLINICAL
3 UPDATES IN TERMS OF DATA. THIS LIST IS NOW THE LIST
4 OF NEW IND'S JUST IN 2019. SO IT'S QUITE A VERY
5 IMPRESSIVE LIST. FATE THERAPEUTICS FOR ENGINEERED
6 INDUCED PLURIPOTENT STEM CELL-DERIVED NK CELLS FOR
7 SOLID TUMORS. THIS IS ONE OF THE FIRST INDUCED
8 PLURIPOTENT STEM CELL-DERIVED PRODUCTS. SO IT'S A
9 VERY EXCITING TREND IN THIS FIELD. WE EXPECT IN THE
10 FUTURE THAT THE FUTURE WILL GO TOWARD IPSC AND
11 ENGINEERED CELLS.

12 UCLA, SOPHIE DENG, AUTOLOGOUS LIMBAL STEM
13 CELLS FOR CORNEAL DAMAGE. POSEIDA, I MENTIONED
14 THEIR MULTIPLE MYELOMA PROGRAM. THEIR CAR-T PROGRAM
15 FOR CASTRATE RESISTANT METASTATIC PROSTATE CA HAS
16 NOW ACHIEVED A SUCCESSFUL IND. SO THAT WILL BE
17 INITIATING THE TRIAL.

18 THE CITY OF HOPE'S CHEMO TOXICITY
19 RESISTANT MODIFIED HEMATOPOIETIC STEM CELLS FOR
20 GLIOBLASTOMA, DR. STEINBERG'S TRIAL FOR EMBRYONIC
21 STEM CELL STEM CELL-DERIVED NEURAL STEM CELLS FOR
22 CHRONIC SUBCORTICAL STROKE. AND DR. WU WITH
23 EMBRYONIC STEM CELL-DERIVED CARDIOMYOCYTES FOR END
24 STAGE HEART FAILURE.

25 ARE THERE ANY QUESTIONS ON THE CLINICAL

BETH C. DRAIN, CA CSR NO. 7152

1 PROGRAM? THE DATA REALLY SPEAK AND THE PROGRESS IS
2 REAL IN THE DATA. I THINK THAT ONE OF THE KEY
3 THINGS, AND NOW I'M GOING TO INTRODUCE PAUL WEBB, IS
4 THAT THE CIRM TEAM WORK IN PARTNERSHIP. IT STARTS
5 FROM THE BEGINNING, CHOOSING THE BEST PROGRAMS,
6 HAVING THE BOARD LOOK AT IT AND SAY THIS IS
7 SOMETHING WE WANT TO DERISK AND TAKE AND MAKE SURE
8 TO SUPPORT EARLY ON. AND THEN IT GOES THROUGH AND
9 GETS INDUSTRY FUNDING LATER. SO THIS MODEL HAS BEEN
10 WORKING WELL, BUT ALONG THE WAY IT'S EXECUTION. AND
11 SO OUR TEAM, NOT ONLY FROM THE BEGINNING IN HELPING
12 MAKE SURE THAT THE PROGRAMS ARE TEED UP TO BE ON A
13 GOOD PATH FORWARD ARE ACTUALLY WITH THEM ALONG THE
14 WAY. SO PAUL WEBB, PROGRAM MANAGER FOR THE CLINICAL
15 ADVISORY PANEL, IS GOING TO DESCRIBE THE HOW-TOS.

16 ARE THERE ANY QUESTIONS PRIOR TO PAUL?

17 DR. MARTIN: MAY WE HAVE A COPY OF THIS
18 DECK?

19 DR. MILLAN: ABSOLUTELY. IT'S ACTUALLY
20 POSTED, AND WE'LL GIVE YOU A -- ACTUALLY YOU MAY
21 HAVE A PAPER COPY RIGHT THERE. OKAY. I'LL GIVE YOU
22 ONE. THANK YOU VERY MUCH.

23 SO REALLY WANT TO THANK THE BOARD BECAUSE
24 WITHOUT YOU THESE PROGRAMS WOULDN'T HAVE GOTTEN
25 THEIR START, AND I KNOW THAT THROUGH THE PROCESS OF

BETH C. DRAIN, CA CSR NO. 7152

1 THE GRANTS WORKING GROUP, THE SUBCOMMITTEES, AND
2 THEN THE BOARD THAT A LOT OF THOUGHT HAS GONE INTO
3 CHOOSING THESE PROGRAMS. SO IT'S REALLY GREAT TO
4 HAVE THEM PROGRESS TO THIS POINT. THANK YOU.

5 I WANTED TO GIVE YOU AN UPDATE. SO AS YOU
6 KNOW, WE HAVE A LANDMARK PARTNERSHIP WITH NHLBI WHO
7 DECLARED THAT WE NEED TO CURE SICKLE CELL. SO THIS
8 IS THE CURE SICKLE CELL INITIATIVE. WE HAD MEETINGS
9 WITH THE NIH AND THE NHLBI, AND THEY REALLY
10 RECOGNIZE THE ACCELERATION TRANSLATIONAL MACHINERY
11 THAT CIRM IS. AND, THEREFORE, WE HAVE A JOINT MOU
12 WHERE WE ARE USING THE CIRM APPLICATION AND REVIEW
13 PROCESS, AND THEN NHLBI'S EXECUTIVE COMMITTEE THEN,
14 BASED ON THAT REVIEW, MAKES THEIR OWN DETERMINATION,
15 AND WE THEN AGREE TO CO-FUND.

16 WE DO THIS ALL WITHOUT SLOWING DOWN OUR
17 INCREDIBLY IMPRESSIVE ACCELERATION PROCESS WHERE WE
18 CAN GET FROM APPLICATION TO APPROVAL ANYWHERE FROM A
19 HUNDRED, MAYBE SOMETIMES 95 DAYS TO 125 DAYS THEY
20 GET FUNDED. SO WE DIDN'T WANT TO SLOW THAT DOWN;
21 AND WHILE WE CRAFTED EVERYTHING THAT WENT INTO THIS,
22 AND JENN LEWIS IS HERE, AND HER PREDECESSOR, GABE
23 THOMPSON, MADE SURE THAT WE OPERATIONALIZE IT IN A
24 WAY THAT WE DIDN'T SLOW DOWN OUR PROCESSES. AND I'M
25 PLEASED TO REPORT ON BEHALF OF THE TEAM THAT WE

BETH C. DRAIN, CA CSR NO. 7152

1 FUNDED OUR FIRST AWARD GOING THROUGH THIS WHOLE
2 PROCESS, MARK WALTERS' PROGRAM, UCSF, BENIOFF
3 CHILDREN'S. AND WE ARE DOING A HALF/HALF FUNDING OF
4 THIS PROGRAM. AT LEAST SIX OTHER APPLICANTS ARE
5 PREPARING, THAT WE KNOW OF, THERE MAY BE OTHERS, ARE
6 PREPARING FOR A SUBMISSION TO THIS JOINT PROGRAM
7 THIS YEAR, THREE INDUSTRY AND THREE ACADEMIC.

8 AND JUST A VERY BRIEF DESCRIPTION OF WHAT
9 THIS SICKLE CELL PROGRAM DOES. DR. WALTERS'
10 PROGRAM, THE ONE THAT'S BEING FUNDED BY THIS MOU, IS
11 A GENE CORRECTION PROGRAM USING CRISPR-CAS9, WHICH
12 IS A VERY SPECIFIC BASE EDITING TOOL. AND BY DOING
13 THAT, IT CORRECTS THE ONE SINGLE ERROR THAT GIVES
14 RISE TO THIS HORRENDOUS DISEASE. AND SO DR. WALTERS
15 IS NOW IN THE IND-ENABLING PHASE, AND THAT'S AN
16 18-MONTH TIME PERIOD THAT WE ARE TALKING ABOUT. SO
17 ANTICIPATING SUCCESS, HE WOULD THEN BE ELIGIBLE TO
18 COME IN FOR A CLINICAL -- FOR THE CLINICAL PORTION
19 OF THAT AWARD.

20 SENATOR TORRES.

21 MR. TORRES: ON THE SICKLE CELL DISEASE,
22 IT'S ALSO IMPORTANT TO KNOW THAT IT AFFECTS LATINOS
23 AS WELL, NOT JUST AFRICAN-AMERICANS. AND I BRIEFED
24 THE CHAIR OF THE LEGISLATIVE BLACK CAUCUS LAST WEEK
25 IN TERMS OF WHAT WE WERE DOING. SHE INFORMED ME

BETH C. DRAIN, CA CSR NO. 7152

1 THIS ISSUE WAS DISCUSSED AT THE NATIONAL CONFERENCE
2 OF STATE LEGISLATURES AS WELL.

3 HOW DOES THIS COINCIDE WITH DR. KOHN'S
4 RESEARCH AT UCLA?

5 DR. MILLAN: ABSOLUTELY. SO DR. KOHN ALSO
6 HAS A PROGRAM THAT'S ACTUALLY IN CLINICAL STAGE OF
7 USING A DIFFERENT APPROACH, A LENTIVIRAL, A VIRAL
8 VECTOR GENE ADDITION. SO DR. WALTERS' APPROACH IS
9 USING CRISPR-CAS9 TO FIX A SINGLE BASE PAIR. DR.
10 KOHN'S APPROACH IS USING A VIRAL VECTOR TO DELIVER A
11 GENE FOR A MORE FUNCTIONAL FORM OF WHAT'S MISSING IN
12 SICKLE CELL. AND THAT'S STILL ONGOING. THERE'S SIX
13 PATIENTS, THAT'S A TARGET ENROLLMENT, AND THAT'S
14 ONGOING.

15 THE CHALLENGE WITH THAT IS MAKING SURE
16 THEY HAVE THE RIGHT VECTOR, HAVING THE RIGHT
17 TRANSDUCTION EFFICIENCY SO THAT YOU CAN HAVE ENOUGH
18 OF FOR YOUR THERAPEUTIC EFFECT.

19 BUT I JUST WANTED TO JUST SAY BROADLY WHAT
20 THIS PARTNERSHIP IS ABOUT. IT'S NOT JUST
21 CO-FUNDING. WHAT HAPPENS, AND WE TALKED ABOUT THIS
22 EARLIER, IS THE PATIENT SIDE OF IT. SO WE ARE
23 PARTNERING ALSO WITH THE AMERICAN SOCIETY FOR
24 HEMATOLOGY, WHICH IS A BROAD NATIONAL ORGANIZATION,
25 WHICH IS ALSO PART OF THE CURE SICKLE CELL NHLBI

BETH C. DRAIN, CA CSR NO. 7152

1 EFFORT. AND OUR ALPHA CLINICS NETWORK WAS CHOSEN AS
2 ONE OF THE COMPONENTS OF THE BROAD CLINICAL TRIAL
3 NETWORK FOR SICKLE CELL. AND THEN THE IDEA IS THE
4 PATIENTS' INTERACTIONS AND TRYING TO GET THOSE
5 ALTOGETHER AND A DATA HUB, INFORMATION ACCESS,
6 SOCIAL DETERMINANTS, ALL THESE THINGS ARE KIND OF
7 BROUGHT INTO THE CONVERSATION.

8 SO, YES, IT'S FUNDING. IT'S BRINGING THE
9 SCIENCE FORWARD, BUT IN PARALLEL IT REALLY TAKES
10 INTO ACCOUNT THE PATIENT SIDE. THIS IS A VERY
11 COMPLICATED THING. IT'S NOT JUST ONE BASE PAIR TO
12 FIX IT. THERE ARE SO MANY OTHER ASPECTS FOR THE
13 CARE AND THE EXPERIENCE OF THESE PATIENTS, WHICH WE
14 CAN GO INTO IN MORE DEPTH AT ANOTHER TIME. BUT I
15 JUST WANTED TO UPDATE THE BOARD THAT WE DID
16 SUCCESSFULLY USE THIS MODEL, AND SO THAT'S A GREAT
17 START, AND WE EXPECT THAT WE'LL BE BRINGING MORE
18 PROPOSALS TO OUR REVIEW PROCESS AND TO YOU IN THE
19 NEAR FUTURE.

20 CHAIRMAN THOMAS: DR. YAMAMOTO.

21 DR. YAMAMOTO: CAN YOU SAY SOMETHING ABOUT
22 HOW MATTHEW PORTEUS' APPROACH DIFFERS FROM THE
23 WALTERS' STRATEGY?

24 DR. MILLAN: I HAVE DR. SOHEL TALIB HERE
25 WHO CAN MAYBE GIVE A LITTLE BIT MORE GRANULARITY ON

BETH C. DRAIN, CA CSR NO. 7152

1 THAT BECAUSE I DON'T WANT TO MESS THAT UP.

2 DR. TALIB: THANK YOU, MARIA.

3 SO I THINK THE PROGRAM WHICH DR. PORTEUS
4 IS DOING AND THE PROGRAM WHICH DR. WALTERS IS DOING
5 IS VERY SIMILAR. BOTH APPROACHES ARE THE SAME USING
6 CRISPR APPROACH TO REMOVE THE BASE WHICH IS MISSING
7 AND REPLACE IT WITH A CORRECT BASE. AND THAT
8 APPROACH IS A LITTLE BIT DIFFERENT IN TERMS OF
9 OPTIMIZATION, HOW THEY ARE DOING IT.

10 IN ONE CASE THEY ARE USING AN ENZYME TO DO
11 THE GENE CORRECTION AND AN RNA TO DO IT. THE SECOND
12 IS A KNOWN VIRAL APPROACH. SO THE APPROACH IS VERY
13 SIMILAR, BUT IT'S THE WAY IT IS DONE IS A LITTLE BIT
14 DIFFERENT.

15 BUT I THINK AT THIS TIME IT'S MORE SHOTS
16 ON GOAL TO SEE WHICH PART OF THE PROGRAM WILL GIVE
17 THE BENEFIT. SO THESE PROGRAMS BOTH ARE VERY MATURE
18 AND THEY ARE PROGRESSING VERY WELL.

19 CHAIRMAN THOMAS: THANK YOU, DR. TALIB.
20 DR. SANDMEYER.

21 DR. SANDMEYER: I THINK YOU PROBABLY HAVE
22 COMMENTED ON THIS PREVIOUSLY, BUT COULD YOU JUST
23 EXPLAIN HOW THE FUNDS FLOW FROM THE CIRM PROGRAM AND
24 THE FEDERAL GOVERNMENT FLOW? I UNDERSTAND THAT MORE
25 PEOPLE IN THE CLINICAL TRIALS BENEFITS EVERYONE

BETH C. DRAIN, CA CSR NO. 7152

1 BROADLY, BUT I'M JUST CURIOUS HOW THOSE FUNDS ARE
2 DIFFERENTIATED.

3 DR. MILLAN: OKAY. I'LL TAKE A STAB AT IT
4 FROM A BROAD SENSE, AND THEN I'LL HAVE JENN LEWIS,
5 WHO IS OUR DIRECTOR OF GRANTS MANAGEMENT, IF THE
6 BOARD DESIRES MORE DETAIL.

7 SO IF BOTH CIRM, THIS BOARD, AND THE NHLBI
8 EXECUTIVE COMMITTEE DECIDE THAT THEY WILL CO-FUND A
9 PROGRAM, IT'S NEGOTIATED WHAT PERCENTAGE THEY'LL
10 CO-FUND. THE BROAD SENSE IS FOR CALIFORNIA
11 APPLICANTS, WHICH GENERALLY CIRM WOULD FUND A
12 HUNDRED PERCENT OF THEM BECAUSE THEY'RE ELIGIBLE FOR
13 A HUNDRED PERCENT -- WELL, OF WHAT'S CALLED ELIGIBLE
14 COSTS. WHAT ARE THEY CALLED? CIRM WOULD COVER ALL
15 OF IT. IN THIS CASE FOR THAT TYPE OF APPLICANT, THE
16 NHLBI WOULD COVER HALF OF IT. THAT'S WHAT HAPPENED
17 WITH DR. WALTERS' CASE.

18 IN PROGRAMS THAT ARISE OUTSIDE OF
19 CALIFORNIA, WE HAVEN'T CHANGED OUR REQUIREMENTS,
20 THAT CIRM FOR PROGRAMS WHERE THE PI IS OUTSIDE, THE
21 IND HOLDER IS OUTSIDE OF CALIFORNIA, THE CIRM
22 ELIGIBLE COSTS ARE ONLY THE COSTS INCURRED WITHIN
23 CALIFORNIA. SO CLINICAL TRIAL SITES WITHIN
24 CALIFORNIA OR IF THEY USE LABORATORIES WITHIN
25 CALIFORNIA, RESOURCES WITHIN CALIFORNIA, THAT'S

BETH C. DRAIN, CA CSR NO. 7152

1 ELIGIBLE. THE BALANCE OF THAT WOULD THEN BE
2 SOMETHING THAT THE NHLBI WOULD DECIDE WHETHER THEY
3 WOULD COVER THAT PLUS WHATEVER CO-FUNDING THE
4 APPLICANT WOULD BRING IN. DOES THAT ANSWER THAT
5 QUESTION?

6 DR. SANDMEYER: THANK YOU. THAT'S PLENTY
7 OF DETAIL.

8 CHAIRMAN THOMAS: OKAY. DR. MILLAN, THANK
9 YOU VERY MUCH FOR THAT PRESENTATION. I HOPE THE
10 BOARD IS REALLY IMPRESSED WITH THE STATUS OF THE
11 PORTFOLIO AND WHAT OUR WONDERFUL SCIENTISTS
12 STATEWIDE HAVE BEEN ABLE TO ACCOMPLISH. IT REALLY
13 IS A TESTAMENT TO EXACTLY WHAT THIS PROGRAM IS ALL
14 ABOUT. I THANK YOU FOR THAT. I THINK IT WAS
15 IMPORTANT THAT EVERYBODY GET A FEEL FOR WHERE WE
16 STAND AT THE MOMENT.

17 WE'RE GOING TO TAKE A BREAK TO GET LUNCH.
18 PLEASE BRING IT BACK. IT'S A WORKING LUNCH. AND
19 THEN WE'LL GO TO PAUL AS SOON AS EVERYBODY HAS
20 GOTTEN THEIR SEATS BACK. SO THOSE ON THE PHONE, IT
21 WILL BE FIVE TO EIGHT MINUTES, SOMETHING LIKE THAT.
22 THANK YOU.

23 (A RECESS WAS TAKEN.)

24 CHAIRMAN THOMAS: THOSE ON THE PHONE,
25 WE'RE GOING TO RECONVENE. WE ARE ON TO THE NEXT

BETH C. DRAIN, CA CSR NO. 7152

1 PRESENTATION. PAUL IS GOING TO WALK US THROUGH ON
2 THE CLINICAL ADVISORY PANELS AND A VARIETY OF OTHER
3 ITEMS. SO, PAUL, WITHOUT FURTHER ADO.

4 DR. WEBB: THANKS FOR THE OPPORTUNITY TO
5 TALK TO YOU ALL TODAY. WHAT I'M GOING TO DO IS
6 EXPLAIN A CIRM INTERNAL MECHANISM THAT WE THINK
7 HELPS ACCELERATE STEM CELL TREATMENTS TO PATIENTS
8 WITH UNMET MEDICAL NEEDS. AND THAT'S THE CLINICAL
9 ADVISORY PANEL.

10 SO I'LL START BY JUST BRIEFLY EXPLAINING
11 WHAT A CLINICAL ADVISORY PANEL ACTUALLY IS. AND
12 PERHAPS IT'S WELL DEFINED, FROM THE DEFINITION YOU
13 CAN LEARN A LOT.

14 BUT IT'S A GROUP OF EXPERTS THAT HELP THE
15 CLINICAL PROGRAMS AS THEY NAVIGATE SOME VERY
16 CHALLENGING STUDIES AND ENCOUNTER SOME, PERHAPS,
17 DIFFICULTIES, ROADBLOCKS, CHALLENGES, HELP THEM GET
18 THROUGH THAT CHALLENGE. ESSENTIALLY IT'S AN
19 EXTENSION OF THE PROJECT TEAM.

20 SO ONE CAP IS ASSEMBLED BY CIRM FOR EVERY
21 CLINICAL STAGE AWARD. AND IT'S COMPOSED OF THE
22 FOLLOWING THAT'S LISTED ON THE DIAGRAM. SO
23 INTERACTING WITH THE PROGRAM, THERE'S EXTERNAL
24 SCIENCE ADVISORS. THESE CAN BE EITHER SCIENTISTS OR
25 DOCTORS. THEY'RE EXPERIENCED IN THE PARTICULAR

BETH C. DRAIN, CA CSR NO. 7152

1 DISEASE INDICATION, USUALLY A KEY OPINION LEADER,
2 AND THEY, OF COURSE, ARE EXCELLENT IN ADVISING HOW
3 THE PROGRAM IS GOING. THEY'LL OFTEN HAVE SOME
4 BACKGROUND IN STEM CELLS. THERE'S A PATIENT
5 REPRESENTATIVE, AND THIS IS SOMEBODY WHO'S GOT BOTH
6 AN EXTENSIVE KNOWLEDGE OF THE DISEASE AND, IN
7 PARTICULAR, SOMEONE WHO CAN REALLY HELP US
8 UNDERSTAND HOW THE DISEASE IMPACTS THE PATIENTS.
9 AND ALSO CIRM TEAM. IN-HOUSE IN CIRM WE HAVE A LOT
10 OF VERY EXPERIENCED PEOPLE WHO HAVE BEEN THERE, DONE
11 THAT. THEY'VE BEEN THROUGH THE PRODUCT DEVELOPMENT,
12 CLINICAL TRIALS, STEM CELL TECHNOLOGIES, AND THEY
13 CAN BOTH WEIGH IN WITH ADVICE BUT ALSO CAN HELP TO
14 DEPLOY EXTRA TYPES OF CIRM ASSISTANCE THAT WE CAN
15 PROVIDE.

16 SO THE CAP STAYS WITH THE PROGRAM
17 THROUGHOUT. IT WILL MEET MULTIPLE TIMES OVER THE
18 LIFETIME OF A PROGRAM AWARD, AND IT CAN EVOLVE. SO
19 IF, FOR EXAMPLE, THE TEAM'S EXPERIENCING A
20 PARTICULAR CHALLENGE, WE CAN BRING IN AN AD HOC
21 EXPERT TO HELP THE TEAM DEAL WITH THAT CHALLENGE AND
22 ADVISE THEM.

23 SO WHAT WE THINK IS THAT THE CAP'S HAVE
24 EVOLVED TO BECOME A KEY TOOL IN CIRM'S KIND OF
25 ACTIVE MANAGEMENT APPROACH. I'LL TELL YOU ON THIS

BETH C. DRAIN, CA CSR NO. 7152

1 SLIDE WHAT THE CAP'S ARE ACTUALLY DOING. WE ARE
2 WORKING WITH CLINICAL STAGE AWARDS WHICH ENCOMPASS
3 BOTH THE CLIN1, AND THAT'S OUR SHORTHAND FOR THE
4 KIND OF FINAL IND-ENABLING STUDIES REQUIRED TO
5 SUBMIT AN IND. WE ALSO WORK WITH THE CLIN2 AWARDS.
6 THAT'S OUR SHORTHAND FOR THE CLINICAL TRIAL AWARDS,
7 THE GOAL OF WHICH IS TO COMPLETE A CLINICAL TRIAL.
8 AND, OF COURSE, WE ARE COVERING THE WIDE RANGE OF
9 INDICATIONS THAT CIRM'S INVOLVED WITH. THERE'S
10 INFECTIOUS DISEASE, VARIOUS FORMS OF CANCER,
11 METABOLIC DISEASES, BLINDING DISEASES, NEURO, ET
12 CETERA, ET CETERA.

13 SO IT'S AN ACTIVE EFFORT, AND THAT'S
14 REFLECTED IN SOME OF THE STATISTICS YOU'RE SEEING UP
15 HERE. SO THE PROGRAM BEGAN IN 2015; AND SINCE
16 INCEPTION, BY THE END OF 2019, WE STAGED 250
17 INDIVIDUAL CAP MEETINGS. THAT INVOLVED 78 EXTERNAL
18 ADVISORS AND 57 DIFFERENT PATIENT REPS. AND I WILL
19 SAY THAT MANY OF THESE ARE DOING DOUBLE OR TRIPLE
20 DUTY. I'M TRYING TO THINK IF THERE'S A QUADRUPLE.
21 I THINK THE MOST IS TRIPLE, BUT THERE'S SOME
22 EXCELLENT CONTRIBUTIONS HERE.

23 AND YOU CAN ALSO, IF YOU LOOK AT THE BAR
24 GRAPH ON THE LEFT, YOU CAN SEE THE WAY THIS HAS
25 EXPANDED YEAR BY YEAR. SO WE BEGAN WITH SEVEN IN

BETH C. DRAIN, CA CSR NO. 7152

1 2015 GOING TO 28 IN 2016, HEADING UP TO 67, AND NOW
2 IN THE 70S THROUGH THE LAST TWO YEARS. AND THE
3 REASON FOR THAT IS QUITE SIMPLE. IT REFLECTS CIRM'S
4 SUCCESS IN BRINGING IN CLINICAL TRIALS AND, OF
5 COURSE, THE CONTRIBUTION TO THE BOARD FUNDING THESE
6 CLINICAL TRIALS. SO THE MORE CLINICAL TRIALS WE
7 HAVE, THE MORE CAP'S.

8 SO GIVEN THAT EFFORT, ARE THESE USEFUL?
9 AND WHAT I'LL SAY IS THAT ANECDOTALLY CIRM STAFF AND
10 THE GRANTEES FELT THEY WERE. AND I THINK YOU'VE
11 HEARD SOME OF THE INDIVIDUAL ANECDOTES OF HOW CAP'S
12 HAVE REALLY HELPED TEAMS AT ICOC MEETINGS IN THE
13 PAST. BUT WHAT I'M GOING TO SHARE WITH YOU TODAY IS
14 THE WAY WE TRY AND PUT A QUANTITATION ON THAT AND
15 TRY AND TRACK IT INTERNALLY.

16 THE WAY THAT'S DONE IS TO TRACK THE NUMBER
17 OF INDIVIDUAL IMPACTS. AND THAT'S DEFINED UP IN THE
18 TOP LEFT. SO WHAT THAT IS IS AN INSTANCE OF CAP
19 FEEDBACK FROM THE ORGANIZATION WORKING WITH THE TEAM
20 THAT HELP THE TEAM ADVANCE ITS GOALS TOWARDS WHETHER
21 IT'S IND SUBMISSION OR CLINICAL TRIALS. AND SOME OF
22 THE TYPES OF THINGS WE'VE ENCOUNTERED ARE LISTED ON
23 THE LEFT. WE MIGHT HELP THE TEAM RESOLVE A SPECIFIC
24 CHALLENGE THAT THEY COME TO THE CAP WITH. AND THAT
25 COULD DO SOMETHING, FOR EXAMPLE, LIKE HELP THEM

BETH C. DRAIN, CA CSR NO. 7152

1 OVERCOME A MANUFACTURING CHALLENGE. THERE CAN BE
2 ADVICE THAT EMERGES DURING THE CAP THAT HELP THE
3 TEAM OPTIMIZE THE PROJECT EXECUTION. SO, FOR
4 EXAMPLE, THERE COULD BE SUGGESTIONS ABOUT HOW TO
5 IMPROVE AND ACCELERATE ENROLLMENT. AND WE COULD
6 DISCOVER CRITICAL INFORMATION THAT MAY NOT BE
7 APPARENT UNTIL WE ARE ALL SITTING IN A ROOM OR ON
8 THE PHONE TOGETHER TALKING ABOUT IT. AND THAT CAN
9 LEAD TO THINGS LIKE THE NECESSITY FOR REGULATORY
10 ADVICE OR DELINEATION OF THE DEVELOPMENTAL PATH FOR
11 THE NEXT STAGE.

12 SO WHEN WE ADD ALL THOSE THINGS UP, TO
13 DATE WE CAN SAY THAT THE CAP'S HAVE HAD A POSITIVE
14 INFLUENCE ON 74 PERCENT OF THE CLIN STAGE AWARDS.
15 I'LL SAY MANY OF THESE CLIN AWARDS ARE AT EARLY
16 STAGE. I'LL COME BACK TO THAT.

17 SO WHERE IS THE ADVICE GOING? WHAT WE'VE
18 DONE HERE IS TO TRY AND QUANTITATE THE DEVELOPMENTAL
19 FUNCTIONS. THAT'S THE KIND OF KEY AREAS THAT A TEAM
20 NEEDS TO WORK ON TO ADVANCE THE STUDY. I'VE DIVIDED
21 IT HERE INTO CLIN1 AND CLIN2. SO CLIN1 IS THE
22 IND-ENABLING, CLIN2 THE CLINICAL TRIAL. AS YOU CAN
23 SEE, CLIN1 WE'VE COUNTED 77 INDIVIDUAL IMPACTS OVER
24 THE LIFETIME OF THE PROGRAM, AND CLIN2 WE COUNTED
25 161. AND ON THE BOTTOM IN THE BAR CHART, WE'VE

BETH C. DRAIN, CA CSR NO. 7152

1 BUCKETED THESE ACCORDING TO THE DEVELOPMENTAL
2 FUNCTION.

3 SO WHAT YOU CAN SEE FOR CLIN1, THERE'S A
4 LOT OF ADVICE GOING TO DIFFERENT AREAS THAT HELP THE
5 TEAM. CMC IS THE ACRONYM THAT'S USED FOR JUST
6 MANUFACTURING ISSUES. PHARM-TOX ARE THE FINAL
7 SAFETY. CLINICAL, THAT REFLECTS THE NEED TO PUT
8 TOGETHER THE CLINICAL PROTOCOL WITH THE IND.
9 REGULATORY AND DEVELOPMENT, THAT'S THINKING ABOUT
10 THE NEXT STAGE, REGULATORY INTERACTIONS WITH THE FDA
11 AND SO FORTH. THE CLIN2, THE CLINICAL STAGE,
12 PERHAPS NOT SURPRISINGLY MUCH OF THE HELP IS GOING
13 TO THE CLINICAL TRIAL. IT'S REFINING THE DESIGN,
14 HELPING WITH THE EXECUTION. BUT WHAT YOU ALSO SEE
15 IS THAT WE ARE STILL GIVING SIGNIFICANT AMOUNTS OF
16 ADVICE IN OTHER AREAS LIKE MANUFACTURING,
17 REGULATORY, AND DEVELOPMENT.

18 SO HOW DID THIS GO BY INDIVIDUAL PROJECT?
19 AND THIS IS, AGAIN, THIS BAR CHART REFLECTS THE
20 IMPACTS, BUT THIS TIME WE ARE LOOKING AT IT BY
21 AWARD. SO, AGAIN, TO WALK YOU THROUGH IT. IT'S A
22 RATHER BUSY SLIDE. WE HAVE ON THE LEFT THE CLIN1,
23 THE IND-ENABLING, AND WHAT WE'VE DONE ON THE Y AXIS
24 IS TO COUNT THE NUMBER OF PROGRAMS SINCE INCEPTION.
25 THERE'S 22 AWARDS AND GIVE THEM A NUMBER. AND

BETH C. DRAIN, CA CSR NO. 7152

1 THERE'S A SIMILAR PRESENTATION FOR THE CLIN2 ON THE
2 RIGHT WHERE THERE'S 56 AWARDS SINCE INCEPTION OF THE
3 CAP PROGRAM.

4 NOW, A COUPLE OF THINGS JUMP OUT AT YOU
5 WHEN YOU SEE THE CAP IMPACTS PLOTTED BY THE NUMBER
6 OF PROGRAMS IS THAT MOST OF THE PROGRAMS HAVE GOT
7 TANGIBLE HELP THAT'S GONE TOWARDS ACCELERATION OF
8 THEIR PROGRAM.

9 THE SECOND THING THAT JUMPS OUT AT YOU IS
10 THERE'S VARIABILITY. SOME PROGRAMS ASKED FOR, GET A
11 LOT OF HELP, OTHER PROGRAMS LESS SO. THE REASON FOR
12 THE VARIABILITY IS TWOFOLD. PARTLY IT'S PROGRAM
13 NEEDS, VARIOUS CHALLENGES THAT DIFFERENT PROGRAMS
14 ENCOUNTER. I THINK THE REASON THAT SOME OF THE
15 PROGRAMS HAVE RECEIVED LOWER AMOUNTS OF ASSISTANCE
16 IS ACTUALLY SIMPLER. IT'S THAT MANY OF THEM IN THE
17 LOWER PART OF THE GRAPH HAVE ONLY JUST STARTED. AND
18 WHAT WE THINK IS THAT PERHAPS THEY'LL NEED HELP IN
19 THE FUTURE. WE'LL WAIT AND SEE. BUT AT THE MOMENT,
20 WHAT WE CAN SAY IS THAT ON AVERAGE THERE'S ABOUT
21 THREE INSTANCES OF HELP PER AWARD DRIVEN BY THIS CAP
22 PROGRAM.

23 SO WE THINK IT'S A GREAT VALUE ADD TO THE
24 GRANTEES, AND HOPEFULLY -- WE'VE RECEIVED GOOD
25 FEEDBACK FROM CERTAIN GRANTEES THAT THIS IS INDEED

BETH C. DRAIN, CA CSR NO. 7152

1 THE CASE. SO I'LL PAUSE BRIEFLY TO TAKE ANY
2 QUESTIONS YOU MAY HAVE.

3 DR. MARTIN: HOW DO YOU INTEND TO FUND
4 THIS GOING FORWARD?

5 DR. WEBB: THIS IS FUNDED INTERNALLY FROM
6 THE CIRM BUDGET.

7 DR. MARTIN: AND WE HAVE THE BUDGET FOR
8 THAT OVER THE NEXT HOW LONG OF A PERIOD?

9 DR. WEBB: THAT'S A BUDGET QUESTION I'LL
10 HAND OVER TO JENN. BUT THE ANSWER IS YES.

11 DR. MARTIN: THE ADMINISTRATIVE BUDGET.

12 DR. WEBB: ADMINISTRATIVE BUCKET, AND WE
13 CAN CERTAINLY BE TAKEN OUT FOR THE LIFETIME OF THESE
14 AWARDS.

15 DR. MARTIN: AND MOST OF THESE ADVISORS
16 ARE RESIDENTS OF CALIFORNIA? ARE THEY ALL OVER THE
17 COUNTRY?

18 DR. WEBB: THEY'RE ALL OVER THE PLACE.
19 SOME OF THEM ARE EAST COAST. THERE'S SEVERAL WHO
20 ARE INTERNATIONAL AND TAKE PART BY PHONE.

21 DR. MARTIN: THANK YOU.

22 MS. DURON: I WAS JUST INTERESTED WHETHER
23 YOU FIND INCREASINGLY COMMON WISDOM ABOUT THE
24 REGULATIONS IS MITIGATING THE DEMAND AT ALL FOR
25 EXPERT ADVICE THAT MIGHT AMELIORATE SOME OF THE

BETH C. DRAIN, CA CSR NO. 7152

1 EFFECTS OF THE EXPANDED CLINICAL TRIALS ON DEMAND
2 FOR YOUR EXPERTS.

3 DR. WEBB: HAVE TO BE REALLY CAREFUL IN
4 WHAT I SAY BECAUSE THIS IS ALL UNDER -- IT'S ALL
5 HIGHLY CONFIDENTIAL. BUT WE HAVE A VARIETY OF
6 DIFFERENT TEAMS IN THE PORTFOLIO. WE HAVE ACADEMIC
7 GROUPS, SMALL BIOTECHS, SOME LARGER BIOTECHS, AND
8 THE AMOUNT OF IN-HOUSE EXPERTISE VARIES. SO THE
9 SHORT ANSWER IS NO. AND THEN THE SECOND PART I'LL
10 BRING UP FOR THAT, WHICH I THINK ABLA CREASEY COULD
11 HELP ATTEST TO, IS THAT THE REGULATORY ENVIRONMENT
12 IS CHANGING ALL THE TIME. CIRM HAS STAFF THAT KEEP
13 UP WITH THAT. THAT WISDOM DOESN'T NECESSARILY
14 PERCOLATE INTO EVERY CORNER OF RESEARCH.

15 UNIDENTIFIED SPEAKER: DO GRANTEES
16 GENERALLY COME TO THE CAP ASKING FOR HELP, OR IS IT
17 A REGULARLY --

18 DR. WEBB: IT'S A MIX. I PERHAPS DIDN'T
19 SPECIFY THIS EXACTLY, BUT WE HAVE QUARTERLY
20 INTERACTIONS WITH EVERY TEAM THAT TAKE A VARIETY OF
21 FORMS. THAT SAID, OFTEN THE GRANTEES COME TO US
22 WITH HELP. THEY SAY THERE'S AN ISSUE WE'D LIKE TO
23 DISCUSS. WE JUST HAD ONE ISSUE EXACTLY LIKE THAT IN
24 THE BEGINNING OF JANUARY WHERE THE GRANTEES
25 REQUESTED A CAP, AND IT'S A FAIRLY COMMON

1 OCCURRENCE.

2 DR. YAMAMOTO: WHEN I HEAR WHAT YOU'VE
3 SAID, I THINK OF IT AS SORT OF ADVISING, ACTIVE
4 ADVISING MAYBE; WHEREAS, IN INDUSTRY, ACTIVE
5 MANAGEMENT AND A COUPLE OF GOVERNMENT AGENCIES,
6 DARPA COMES TO MIND, ACTIVE MANAGEMENT IS REALLY
7 AGGRESSIVELY ACTIVE. SPECIFIC MILESTONES ARE SET
8 OUT; AND IF YOU DON'T HIT THEM, YOU LOSE YOUR MONEY.
9 IT'S OVER.

10 SO IS THERE -- ARE THERE CONSEQUENCES LIKE
11 THAT WHERE THEIR EXPECTATIONS FROM CIRM IN GETTING
12 FUNDING ARE EXPLICIT ENOUGH THAT EITHER ON A
13 TEMPORAL BASIS OR OVERALL SCOPE THAT THE PROGRAMS
14 ARE MANAGED TO THAT EXTENT THAT THE INVESTIGATORS
15 FEEL THAT IF THEY DON'T PERFORM OR IF THEY DON'T HIT
16 THEIR MILESTONES, THEN THE FUNDING ENDS? DOES THAT
17 EVER HAPPEN?

18 DR. WEBB: ABSOLUTELY. AND THAT'S PART OF
19 THE OPERATIONAL MILESTONE SETTING. GRANTEES'
20 PAYMENTS ARE BASED ON OPERATIONAL MILESTONES, AND IT
21 HAS HAPPENED. I THINK THAT'S PUBLIC. BUT THE --
22 ONE THING I'LL SAY ABOUT THE CAP'S IS THAT THE CAP
23 IS WORKING TO TRY TO STOP THAT HAPPENING. AND THIS
24 IS -- IT'S ACTUALLY A COLLEGIAL, HELPFUL ENVIRONMENT
25 IN WHICH WE ARE TRYING TO ANTICIPATE ISSUES THAT THE

BETH C. DRAIN, CA CSR NO. 7152

1 GRANTEE MAY FACE IN TERMS OF COMPLETING THEIR
2 OPERATIONAL MILESTONES ON TIME AND HELPING THEM GET
3 AROUND THAT PROCESS. WE WANT TO GIVE THEM MONEY,
4 AND WE WANT THESE STUDIES TO SUCCEED.

5 DR. YAMAMOTO: OF COURSE. I DIDN'T MEAN
6 THAT THIS IS SORT OF A WAY TO TERRIFY THE
7 INVESTIGATORS. BUT THE PUBLIC COULD VIEW THIS, THE
8 USE OF THEIR FUNDS IN THIS CONTEXT OF BEING ABLE TO
9 BE EFFICIENT AND USE THE JUDGMENT, THE EXPERTISE,
10 WITHIN CIRM TO BE ABLE TO SORT OF EXERCISE THAT IN A
11 SPECIFIC WAY. NIH FUNDS, FOR EXAMPLE, ARE NOT
12 MANAGED AT ALL, NOT EVEN INACTIVE MANAGEMENT. NOW,
13 IF YOU GET A FIVE-YEAR NIH GRANT, YOU HAVE FIVE
14 YEARS OF MONEY, AND THAT'S JUST THE WAY IT IS. BUT
15 THAT IN A WAY REFLECTS MORE THE DIFFERENCE IN THE
16 MISSION AND GOALS OF ACADEMIC RESEARCH WHERE THE
17 CHARGE IS TO KIND OF KEEP YOUR EYES OPEN. AND IF
18 YOU DISCOVER SOMETHING THAT LEADS YOU ON A DIFFERENT
19 PATHWAY, YOU TAKE IT. IT'S YOUR JUDGMENT TO DO
20 THAT. AND THIS IS REALLY DIFFERENT. THE GOALS HERE
21 ARE TO REALLY ACCOMPLISH A SPECIFIC TASK. AND IT
22 COULD BECOME EVIDENT HALFWAY THROUGH A PROJECT THAT
23 IT'S NOT GOING TO HAPPEN. THE BOLD IDEAS ARE BOLD
24 BECAUSE THEY MIGHT BE WRONG. AND SHOULD SOMEBODY BE
25 STEPPING IN TO EXERCISE THAT KIND OF JUDGMENT?

BETH C. DRAIN, CA CSR NO. 7152

1 DR. MILLAN: SO WE DO. IN FACT, YOU'VE
2 HEARD ABOUT THE RETURN FUNDS. AND SOME OF THEM COME
3 FROM WHERE, DESPITE ALL THE BEST EFFORTS TO
4 PUT -- SOME THINGS DON'T WORK OUT. SO WE PUT THE
5 BEST EXPERTISE BEHIND IT TO OVERCOME THIS, BUT THERE
6 ARE SPECIFIED TIME PERIODS AND CONDITIONS AS WELL AS
7 THE IDEA THAT THEY DON'T GET ANY ADDITIONAL FUNDS
8 UNLESS IT'S OVERCOME. AND THERE'S ALWAYS THE
9 ABILITY TO CLAW BACK FUNDS IF THERE ARE ACTIVITIES
10 THAT WERE SUPPOSED TO HAVE BEEN COMPLETED AND ARE
11 NOT. SO THAT'S ALL EMBEDDED WITHIN THE CONTRACTING.

12 CHAIRMAN THOMAS: SO I'D JUST LIKE TO MAKE
13 A COUPLE OF COMMENTS. ONE, DR. YAMAMOTO, YOU HIT ON
14 A KEY POINT, THAT THE WAY CIRM STAYS INVOLVED IS
15 REALLY UNIQUE AND IT REALLY SPEAKS TO THE MODEL AND
16 THE LENGTHS TO WHICH THE AGENCY GOES TO TRY TO
17 ACHIEVE SUCCESS FOR OUR INVESTIGATORS. AND THAT'S
18 ONE OF MANY FEATURES THAT PEOPLE LOOKING AT IT FROM
19 THE OUTSIDE FIND VERY VALUABLE AND A GREAT VALUE
20 ADD.

21 ON THE SUBJECT OF THE MILESTONES, THERE
22 ARE INSTANCES ABSOLUTELY WHERE WE RECALL FUNDING,
23 BUT THERE ARE OTHER INSTANCES WHERE THERE ARE DEEMED
24 TO BE REASONS FOR PERHAPS ADJUSTING MILESTONES ALONG
25 THE WAY. AND PERHAPS AT A FUTURE MEETING IT WOULD

BETH C. DRAIN, CA CSR NO. 7152

1 BE WORTH DISCUSSING THAT BECAUSE THAT'S SOMETHING
2 THAT YOU DO NEED TO MODIFY UNDER CERTAIN FACTS GOING
3 FORWARD. SO IT'S NOT A COMPLETELY BLACK-AND-WHITE
4 THING. IT ALLOWS FOR SUBJECTIVE ANALYSIS AS TO HOW
5 YOU CAN FURTHER THE PROJECT IF YOU NEED TO MODIFY IT
6 IN SOME RESPECTS.

7 I THINK MAYBE AT THE NEXT BOARD MEETING
8 WE'LL GET INTO THAT IN MORE DETAIL. THAT'S A VERY
9 IMPORTANT QUESTION. I'M VERY GLAD YOU RAISED THAT.
10 SO THANK YOU.

11 DR. MALKAS.

12 DR. MALKAS: ARE YOU GOING TO PUBLISH
13 THIS? THIS IS A REALLY GREAT TOOL. YOU BUILT A
14 TEMPLATE HERE AND GREAT METRICS AROUND GUIDING THAT.
15 ONE, BY PUBLISHING IT, YOU HIGHLIGHT THE SUCCESS OF
16 THIS PROGRAM, BUT ALSO YOU ENABLE OTHER INSTITUTIONS
17 AND OTHER AGENCIES TO START THINKING ABOUT HOW TO
18 EMULATE THIS. SO THERE PROBABLY COULD BE A
19 PUBLICATION ESPECIALLY BECAUSE YOU HAVE OUTCOME AND
20 YOU HAVE MEASURES. AND OBVIOUSLY METRICS IS SO
21 IMPORTANT. I WOULD ENCOURAGE YOU TO ACTUALLY THINK
22 ABOUT THAT.

23 DR. WEBB: WE CERTAINLY HAVE INTERNALLY
24 THOUGHT ABOUT THAT. IT HAS TO BE ANONYMOUS
25 OBVIOUSLY BECAUSE THERE'S CONFIDENTIALITY CONCERNS,

BETH C. DRAIN, CA CSR NO. 7152

1 BUT THE OVERALL METRICS ARE ANONYMIZED. AND, MARIA,
2 I THINK YOU WERE GOING TO SAY SOMETHING.

3 CHAIRMAN THOMAS: DR. MILLAN.

4 DR. MILLAN: THANK YOU. ON THAT TOPIC,
5 DR. MALKAS, THE CIRM TEAM HAS ACTUALLY SUCCESSFULLY
6 PUBLISHED SEVERAL DIFFERENT PERSPECTIVE ARTICLES IN
7 A VARIETY OF DIFFERENT JOURNALS INCLUDING *STEM CELL*
8 *TRANSLATIONAL MEDICINE* HAS BEEN INVITED TO ACTUALLY
9 ALSO SUMMARIZE KIND OF OUR SYSTEMS FOR OTHER
10 PROMINENT JOURNALS. AND SO MORE OF THAT WILL BE
11 COMING OUT, AND WE WILL BRING IT UP AT THE NEXT
12 MEETING. SOME OF THEM ARE DISEASE FOCUSED, SOME OF
13 THEM ARE NOVEL APPROACH BASED, SOME OF THEM ARE
14 ACTUALLY OPERATIONAL, AND SOME OF THEM ARE POLICY.
15 AND SO YOU WILL BE HEARING MORE ABOUT THAT. AND SO
16 THIS IS EMBEDDED IN ONE OF THOSE REPORTS, BUT, YEAH,
17 SO WE'LL BRING THAT.

18 CHAIRMAN THOMAS: OKAY. PAUL, THANK YOU.
19 ON TO TAP'S.

20 DR. FITZGERALD: PAUL DID AN EXCELLENT JOB
21 OF INTRODUCING KIND OF SOME OF THE FUNDAMENTAL IDEAS
22 BEHIND THE TRANSLATIONAL ADVISORY PANEL THAT WE'VE
23 PUT IN PLACE RECENTLY. I WANTED TO BRIEFLY GIVE YOU
24 AN OVERVIEW OF THE TRANSLATIONAL PROGRAM. I KNOW
25 THE CLINICAL PROGRAM IS AT THE FRONT OF EVERYONE'S

BETH C. DRAIN, CA CSR NO. 7152

1 MIND, AND MARIA ELABORATED ON A NUMBER OF THE
2 CLINICAL STAGE PROGRAMS THAT WE ARE FUNDING.

3 THE TRANSLATIONAL PROGRAM, AS WAS
4 MENTIONED EARLIER, IS UNIQUE IN TERMS OF THE SPACE
5 IT OCCUPIES AND THE DEVELOPMENT TIMELINE. FOR CIRM
6 THE TRANSLATIONAL PROGRAM COMES AFTER WHAT WE CALL
7 OUR DISC2 PROGRAM, WHICH IS CANDIDATE DECLARATION
8 STAGE, AND IMMEDIATELY PRECEDING THE CLIN1 OR THE
9 IND-ENABLING PROGRAMS THAT PAUL JUST DESCRIBED.

10 SO FOR THE TRANSLATIONAL PROGRAM, THE
11 EXPECTED OUTCOME OVER A 30-MONTH TIME FRAME IS THAT
12 THE PROJECT TEAM WILL BRING AN ELIGIBLE, CLINICALLY
13 ELIGIBLE CANDIDATE TO A PRE-IND MEETING WITH THE FDA
14 AND THAT THE FDA WILL GIVE THEM FEEDBACK, AGREEING
15 WITH THEIR IND-ENABLING PRECLINICAL PLAN.

16 THE BUDGET FOR THE TRANSLATIONAL PROGRAM
17 IS FOUR MILLION FOR CELL THERAPY AND TWO MILLION FOR
18 A SMALL MOLECULE. AND AS I ALLUDED TO, THE OUTPUT
19 OF OUR DISC2 CANDIDATE DECLARATION STAGE PROGRAM
20 ENABLES ENTRY INTO THE TRAN PROGRAM, WHICH IS A
21 SINGLE ELIGIBLE HUMAN CLINICALLY COMPATIBLE
22 CANDIDATE WITH REPRODUCIBLE DISEASE MODIFYING
23 ACTIVITY RELEVANT TO THE PROPOSED TARGET CLINICAL
24 INDICATION, WHICH HAS BEEN CONSENTED FOR BOTH
25 RESEARCH AND COMMERCIAL USE, AND IT MEETS THE FDA

1 DONOR ELIGIBILITY REQUIREMENTS.

2 SO THE TRAN PROGRAM ACTIVITIES REALLY ARE
3 DESIGNED TO BE ALONG THE CRITICAL PATH TO MEETING
4 THE EXPECTED OUTCOME, WHICH IS THE PRE-IND MEETING.
5 BOTH OF THE ACTIVITIES ARE IN THE C-GMP PROCESS
6 DEVELOPMENT PROCESS SCALE-UP STAGE. SO I'LL TALK A
7 LITTLE BIT ABOUT THAT WHEN WE GET INTO THE
8 TRANSLATIONAL ADVISORY OUTCOMES.

9 THE PROJECTS SPEND A SIGNIFICANT AMOUNT OF
10 TIME AT HEARINGS. YOU CAN IMAGINE THERE'S A LOT OF
11 RISK ASSOCIATED WITH THIS. OFTENTIMES THESE ARE
12 CANDIDATES THAT ARE COMING FROM A LAB BENCH, AND
13 THEY NEED TO DEMONSTRATE THAT THEY CAN BE MADE
14 REPRODUCIBLY AT A SCALE THAT IS COMPATIBLE WITH
15 CLINICAL DEVELOPMENT. OTHER ASSOCIATED ACTIVITIES
16 WOULD INCLUDE ASSAY DEVELOPMENT FOR RELEASE,
17 IN-PROCESS ASSAYS, BIOMARKER DEVELOPMENT, CONDUCT OF
18 NONCLINICAL STUDIES, INCLUDING PK AND PD STUDIES,
19 IMMUNOGENICITY, PILOT SAFETY, AND MECHANISM OF
20 ACTION STUDIES. FINALLY, STUDIES TO SELECT DOSE AND
21 ROUTE OF ADMINISTRATION, WHICH ALL SORT OF CULMINATE
22 IN SELECTION OF THE INDICATION AND DEVELOPMENT OF A
23 CLINICAL PLAN WHICH IS PRESENTED IN THE PRE-IND
24 FILING.

25 SO THE TRAN PROGRAM STATISTICS, THIS IS A

BETH C. DRAIN, CA CSR NO. 7152

1 PROGRAM THAT WAS AN EVOLUTION OF SOME OF OUR EARLIER
2 FUNDING PROGRAMS; BUT THE TRAN PROGRAM, AS IT STANDS
3 NOW, BEGAN IN JUNE OF 2016. TO DATE WE HAVE FUNDED
4 31 OR YOU ALL HAVE FUNDED 31 PROGRAMS. THESE ARE
5 ALSO ADMINISTERED THROUGH OPERATIONAL MILESTONES.
6 SO THESE 31 PROGRAMS ARE COMPRISED OF 83 OPERATIONAL
7 MILESTONES. TO DATE 100 PERCENT OF THE TRAN
8 PROGRAMS THAT HAVE CONCLUDED HAVE CULMINATED IN A
9 SUCCESSFUL PRE-IND SUBMISSION. SO THE N ON THAT IS
10 FIVE, AND TWO OF THOSE FIVE HAVE ACTUALLY PROGRESSED
11 INTO A CLIN1 FUNDING PROGRAM. AND THOSE WERE THE
12 ANKASA PROGRAM AND THE MARK WALTERS PROGRAM.

13 SO PAUL DID A NICE SUMMARY OF THE
14 COMPOSITION OF THE CAP'S. AS WE STARTED SEEING
15 THESE TRAN PROGRAMS MATURE, WE SIMULTANEOUSLY WERE
16 WATCHING SOME OF THE BENEFITS THAT THE CLINICAL
17 ADVISORY PANEL WAS PROVIDING FOR THE CLINICAL
18 PROGRAMS, WE STARTED THINKING OF IF THERE WAS A
19 POSSIBLE WAY THAT WE COULD EVOLVE THE CLINICAL
20 ADVISORY PANEL INTO SOMETHING THAT WOULD BE SUITABLE
21 FOR THE TRANSLATIONAL PROGRAM.

22 THE TRANSLATIONAL STAGE IS SOMEWHAT
23 DIFFERENT. THE TEAMS ARE NOT LOCKED INTO A SIMILAR
24 PATH THAT THE CLINICAL PROGRAMS ARE; HOWEVER, THEY
25 DO HAVE A NUMBER OF GOALS THAT THEY NEED TO ACHIEVE

BETH C. DRAIN, CA CSR NO. 7152

1 IN ORDER TO FILE THE PRE-IND. SO THE TAP PROGRAM IS
2 MADE UP OF THE SAME DIAGRAM WHERE THE FUNDED PROGRAM
3 IS AT THE CORE. THE CIRM TEAM INTERACTS WITH THAT
4 PROGRAM LEVERAGING INTERNAL CIRM EXPERTISE AS WELL
5 AS A BESPOKE PANEL OF EXTERNAL ADVISORS THAT ARE
6 ASSEMBLED SPECIFICALLY TO WORK WITH THE PROGRAM AND
7 THE TYPE OF PROGRAM THAT'S UNDER CONSIDERATION.

8 ANOTHER REALLY IMPORTANT COMPONENT OF THIS
9 THAT WE'VE SEEN GREAT BENEFIT IN THE TAP PROGRAM IS
10 THE INCLUSION OF A PATIENT REPRESENTATIVE. SO
11 OFTENTIMES, AS I MENTIONED, THESE ARE PROGRAMS THAT
12 ARE SORT OF GRADUATING FROM THE LAB BENCH IN BASIC
13 SCIENCE AND MOVING INTO DEVELOPMENT. THIS MAY BE
14 ONE OF THE FIRST TIMES THAT THEY'VE HAD FACE-TO-FACE
15 CONTACT WITH A PATIENT AFFLICTED BY THE DISEASE OR
16 INDICATION THAT THE TEAM IS LOOKING TO ADDRESS. SO
17 THEY GET FEEDBACK FROM THE PATIENT REPRESENTATIVE AS
18 WELL AS IT GIVES EARLY PATIENT REPRESENTATIVES AN
19 INSIGHT ABOUT WHAT GOES INTO DEVELOPMENT OF A
20 THERAPEUTIC. AND THAT'S SOMETHING THAT THEY'RE ABLE
21 TO TAKE BACK TO THEIR COMMUNITIES AND GAIN AN
22 APPRECIATION FOR SOME OF THE COMPLEXITIES OF CAN A
23 CELL THERAPY BE MADE AND HOW ARE THESE EARLY
24 RESEARCH DISCOVERIES TRANSLATED INTO SOMETHING THAT
25 THEY MAY ULTIMATELY SEE IN THE CLINIC.

BETH C. DRAIN, CA CSR NO. 7152

1 MUCH LIKE THE CAP PROGRAM, WE HAVE
2 MULTIPLE TAP MEETINGS OVER THE COURSE OF A TRAN
3 PROJECT. WE HAVE MEASURED IMPACTS AS WELL, WHICH
4 ARE FEEDBACKS, SIMILARLY, FEEDBACKS AND
5 RECOMMENDATIONS THAT RESULT IN A POSITIVE IMPACT AND
6 ACHIEVEMENT OF THE PROJECT OBJECTIVE. AND, AGAIN,
7 THE TAP PROGRAM CAN HAVE MULTIPLE IMPACTS ON A TRAN
8 PROGRAM THROUGH THE COURSE OF THE PROGRAM.

9 NOW, OUR NUMBERS ARE MUCH SMALLER THAN
10 PAUL'S. I WAS SORT OF HOPING I WOULD GO BEFORE
11 PAUL, BUT HERE WE HAVE IT. SO IT'S A YOUNGER
12 PROGRAM. AND SO THIS PROGRAM STARTED IN JULY OF
13 2018. AND IT WAS INITIATED AS A PILOT PROGRAM TO
14 SEE WHAT SORT OF AN EFFECT WE MAY HAVE ON THE
15 TRANSLATIONAL PROGRAM AS A WHOLE.

16 SO SEVEN TRAN PROJECTS HAVE HAD
17 TRANSLATIONAL PATHS INSTALLED TO WORK WITH THEM. WE
18 HAVE HAD NINE MEETINGS. WE HAVE 16 ADVISORS, SOME
19 OF THEM ARE SERVING ON MULTIPLE PROGRAMS, SIX
20 PATIENT REPRESENTATIVES, AND WE HAVE 64 DOCUMENTED
21 IMPACTS.

22 NOW, THE OUTCOMES, AS YOU MIGHT EXPECT FOR
23 PROGRAMS AT THIS STAGE, HAVE CENTERED AROUND GMP
24 PROCESS DEVELOPMENT CHALLENGES, OF WHICH THERE ARE
25 MANY AS THESE PROJECTS MOVE FORWARD, PRECLINICAL

BETH C. DRAIN, CA CSR NO. 7152

1 STUDY DESIGN OPTIMIZATION. THE TEAMS ARE OFTEN
2 LOOKING FOR TARGETED REGULATORY ADVICE. AND THE
3 REGULATORY ENVIRONMENT IS CONSTANTLY EVOLVING. WE
4 HAVE EMPLOYED ADVISORS THAT ARE EX-FDA OR ADVISORS
5 THAT HAVE ROUTINE INTERACTION WITH THE FDA. SO THEY
6 DO HAVE THEIR FINGER ON THE PULSE OF WHAT THE
7 CURRENT EXPECTATIONS ARE AROUND REGULATORY FILING.
8 REFINEMENT OF THE DEVELOPMENT PATH AND OCCASIONALLY
9 FACILITATED PARTNERING FOR THESE PROJECTS.

10 SO THE INFLUENCES THAT WE'VE SEEN HAVE
11 BEEN ACROSS ALL KEY TRANSLATIONAL ACTIVITIES. YOU
12 WILL SEE THE BULK OF THE 64 IMPACTS THAT WE'VE
13 MEASURED HAVE BEEN IN THE CMC MANUFACTURING PROCESS
14 DEVELOPMENT AREA OF THE PROJECT. AGAIN, THAT AREA
15 REPRESENTS SIGNIFICANT TECHNICAL HURDLES FOR MANY
16 PROJECTS, AND WE'VE BEEN ABLE TO USE ADVISORY PANEL
17 MEMBERS WITH EXPERTISE ON A LARGER SCALE THAT HAVE
18 BEEN ABLE TO NAVIGATE THROUGH SOME OF THESE PROBLEMS
19 AND ADVANCE THROUGH THE MILESTONES.

20 DEVELOPMENT PATH, AGAIN, IS SOMETHING THAT
21 IS BEING REFINED AT THIS STAGE. SO WE'VE SEEN A LOT
22 OF RECOMMENDATIONS AND IMPACTS IN THAT SPACE.

23 REGULATORY ADVICE IN THIS CONTEXT CAN TAKE
24 THE FORM OF HELPING THE PROJECTS CRAFT EITHER THEIR
25 PRE-IND PACKAGE OR THEIR INTERACT PACKAGE. SO THE

BETH C. DRAIN, CA CSR NO. 7152

1 INTERACT IS ESSENTIALLY WHAT THE PRE, PRE-IND USED
2 TO BE CALLED, AND THAT HAS BEEN IMPORTANT FOR A
3 NUMBER OF OUR PROJECTS TO GET EARLY REGULATORY INPUT
4 TO HELP DERISK THE PROGRAM AS IT MOVES FORWARD.

5 AND, AGAIN, MIRRORING WHAT PAUL PRESENTED
6 WITH SMALLER NUMBERS, THE NUMBER OF IMPACTS PER
7 AWARD IS SOMEWHAT VARIABLE. WE HAVE A FEW PROJECTS
8 THAT HAVE HAD MORE THAN ONE MEETING, AND THOSE
9 OBVIOUSLY HAVE HAD HIGHER NUMBERS OF IMPACTS
10 ASSOCIATED WITH THEM. AND TO ECHO WHAT PAUL SAID,
11 WE HAVE SOME PROJECT TEAMS THAT ACTIVELY SEEK
12 RECOMMENDATIONS AND ADVICE AND ARE REALLY LOOKING TO
13 LEVERAGE THIS EXTERNAL PANEL TO STRENGTHEN THEIR OWN
14 INTERNAL TEAM. AND THEY'RE EAGER FOR ADVICE. OTHER
15 TEAMS ARE PLUGGING ALONG FINE, AND THEY RECEIVE
16 MINIMAL INPUT FROM THE TAP'S, JUST MORE OF KIND OF
17 KEEPING THEM ON COURSE.

18 SO WE EXPECT THESE NUMBERS TO EVOLVE OVER
19 TIME, AND WE WILL PROVIDE ADDITIONAL UPDATES AS
20 THESE NUMBERS GROW. BUT SO FAR THE PROGRAM HAS BEEN
21 PRETTY SUCCESSFUL. AND I WOULD SAY UNANIMOUSLY WITH
22 THE TEAMS THAT HAVE HAD TAP'S ASSOCIATED WITH THEM,
23 WE'VE GOTTEN POSITIVE FEEDBACK FROM THE PI'S. I
24 THINK THAT, AGAIN, THESE ARE TEAMS THAT AREN'T USED
25 TO WORKING WITH EXTERNAL ADVISORS AND PANELS THAT

BETH C. DRAIN, CA CSR NO. 7152

1 HAVE AN INFLUENCE OVER THEIR PROJECT. AND SO THERE
2 CAN OCCASIONALLY BE SOME HESITANCE, BUT UNANIMOUSLY
3 AFTER THE FIRST MEETING THEY SEE THE VALUE, AND IT'S
4 REALLY HELPED ACCELERATE AN INCREASE IN THE
5 PROBABILITY OF SUCCESS FOR THE PROJECTS AS A WHOLE.

6 I'LL TAKE ANY QUESTIONS.

7 DR. SANDMEYER: AT FIRST I THOUGHT, WELL,
8 MAYBE THESE TAP TEAMS WOULD WANT TO GRADUATE AND
9 REMAIN THE CAP TEAMS, BUT THE DISTRIBUTION OF INPUT
10 IS QUITE DIFFERENT BETWEEN THE TAP AND THE CAP. SO
11 IS THE IDEA TO GET REAL MANUFACTURING EXPERTS NOW
12 BASED ON -- I MEAN IT'S A SMALL N, BUT IS THAT YOUR
13 INTENT NOW?

14 DR. FITZGERALD: SO THAT REALLY IS THE
15 BULK OF THE ACTIVITY THAT'S HAPPENING IN A TRAN
16 AWARD. AND SO AS A RESULT, THE TYPES OF ADVISORS
17 WE'LL USE HAVE EXPERTISE IN THAT AREA. AND I THINK
18 THAT THE NEEDS AND THE TYPES OF IMPACTS THAT WE HAVE
19 HAD IN THE TRAN PROGRAM REFLECT WHAT THE GOAL OF THE
20 TRAN PROJECT IS FOR AND THE NEEDS OF A PRE-IND
21 FILING VERSUS AN IND.

22 CHAIRMAN THOMAS: OKAY. KENT. GREAT
23 WORK. AGAIN, I REITERATE WHAT I SAID ABOUT THE
24 CAP'S. THESE ARE VERY UNIQUE PROGRAMS AND SETS OF
25 INPUT THAT POSITION OUR PROJECTS TO BE AS SUCCESSFUL

BETH C. DRAIN, CA CSR NO. 7152

1 AS THEY POSSIBLY CAN BE. SO EXCELLENT WORK ON ALL
2 OF THIS STUFF. THANK YOU.

3 DR. MARTIN: I THINK YOU COULD GENERATE
4 REVENUES BY RENTING THESE OUT.

5 CHAIRMAN THOMAS: EXCELLENT IDEA. DR.
6 SHEPARD.

7 DR. SHEPARD: GOOD AFTERNOON, EVERYBODY.
8 IT'S MY PLEASURE TO COME HERE BEFORE YOU TODAY TO
9 GIVE YOU AN UPDATE ON OUR EDUCATIONAL AND TRAINING
10 GRANT PROGRAMS.

11 SO I'M GOING TO BEGIN BY RESTATING YOUR
12 MISSION OF CIRM, WHICH IS ACCELERATE STEM CELL
13 TREATMENTS TO PATIENTS WITH UNMET MEDICAL NEEDS.
14 AND YOU ALL KNOW, CIRM HAS, AND AS ALLUDED TO BY DR.
15 MILLAN EARLIER TODAY, WE'VE INVESTED IN FIVE KEY
16 AREAS IN ORDER TO ACHIEVE THIS MISSION, WHICH IS
17 ESSENTIALLY TO TURN IDEAS INTO MEDICINES.

18 NOW, WE JUST HEARD A DISCUSSION OF SOME
19 UPDATES OF SOME OF OUR PROGRAMS THAT REPRESENT THESE
20 KEY PILLARS, INCLUDING, FIRST, THE CLINICAL STAGE
21 PROGRAMS, AND BY DR. FITZGERALD THE TRANSLATIONAL
22 STAGE PROGRAMS. BUT I'M HERE TO TELL YOU TODAY
23 ABOUT THE RIGHT-MOST PILLAR THAT YOU SEE THERE, THE
24 EDUCATIONAL PILLAR, WHICH IS JUST AS IMPORTANT AS
25 EVERYTHING ELSE BECAUSE HUMAN RESOURCES AND TALENTED

BETH C. DRAIN, CA CSR NO. 7152

1 WORKFORCE IS ESSENTIAL TO DO THE WORK THAT IS
2 REPRESENTED IN THIS GRAPHIC.

3 SO QUITE SIMPLY STATED, THE GOALS OF
4 EDUCATIONAL PROGRAMS ARE TO CREATE A DIVERSE AND
5 WELL-TRAINED WORKFORCE, SCIENTISTS, AND TECHNICIANS
6 TO HELP REALIZE THE FULL POTENTIAL OF STEM CELLS TO
7 TREAT PATIENTS WITH UNMET MEDICAL NEEDS.

8 CIRM HAS BEEN SUPPORTING TRAINING PROGRAMS
9 IN ONE FORM OR ANOTHER SINCE OUR INCEPTION. THE
10 PROGRAMS I'M GOING TO FOCUS ON TODAY ARE OUR TWO
11 ACTIVE TRAINING GRANT PROGRAMS, WHICH I'LL GO OVER
12 INDIVIDUALLY IN A LITTLE BIT MORE DETAIL IN MY
13 FOLLOWING SLIDES: THE BRIDGES PROGRAM AND THE SPARK
14 PROGRAM.

15 SO LET'S BEGIN WITH THE BRIDGES PROGRAM.
16 SO THE OBJECTIVE OF THE BRIDGES PROGRAM IS TO
17 PREPARE CALIFORNIA'S UNDERGRADUATE AND MASTER'S
18 LEVEL STUDENTS FOR HIGHLY PRODUCTIVE CAREERS IN STEM
19 CELL RESEARCH AND THERAPY DEVELOPMENT. THESE
20 PROGRAMS ARE INTEGRATED INTO EXISTING BACHELOR'S,
21 MASTER'S, OR EVEN CERTIFICATE GRANTING PROGRAMS
22 BASED AT HOME INSTITUTIONS AROUND THE STATE OF
23 CALIFORNIA.

24 SO BY CERTIFICATE GRANTING PROGRAMS, SOME
25 OF THESE CAN BE AFFILIATED WITH UNDERGRADUATE LEVEL

BETH C. DRAIN, CA CSR NO. 7152

1 OR MASTER'S LEVEL STUDENTS ENROLLED AT COMMUNITY
2 COLLEGE OR CALIFORNIA STATE UNIVERSITY PROGRAMS. IT
3 CAN ALSO INCLUDE INDIVIDUALS WHO HAVE HAD CAREERS IN
4 OTHER FIELDS WHO WOULD LIKE TO COME BACK TO SCHOOL
5 AND GAIN NEW EXPERTISE IN STEM CELL BIOLOGY.

6 SO THE HOME INSTITUTIONS THAT OFFER THESE
7 BRIDGES PROGRAMS ARE INDICATED ON THE FOLLOWING
8 SLIDE. THIS PROGRAM HAS BEEN OPERATING FOR TEN
9 YEARS NOW; AND OVER THE COURSE OF THE TEN YEARS,
10 BRIDGES PROGRAMS HAVE BEEN OFFERED AT 16 DIFFERENT
11 INSTITUTIONS CROSS THE STATE. CURRENTLY 14 OF THESE
12 PROGRAMS ARE ACTIVE. AND I LIKE TO SHOW THIS SLIDE
13 BECAUSE IT SHOWS THAT GEOGRAPHICALLY THESE PROGRAMS
14 ARE DISTRIBUTED FROM THE SOUTHERN PART OF
15 CALIFORNIA. THE SOUTHERNMOST PROGRAM IS SAN DIEGO
16 STATE UNIVERSITY, ALL THE WAY UP TO THE NORTHWEST
17 CORNER IN ARCATA, WHICH IS WHERE HUMBOLDT STATE
18 UNIVERSITY IS LOCATED.

19 IN ADDITION TO THESE INSTITUTES THAT OFFER
20 THESE PROGRAMS, MANY OF THEM HAVE RELATIONSHIPS WITH
21 LOCAL COMMUNITY COLLEGES IN WHICH STUDENTS ARE
22 RECRUITED INTO THESE BRIDGES PROGRAMS.

23 NOW, WHAT MAKES THESE BRIDGES PROGRAMS
24 SPECIAL? I'VE JUST TOLD YOU THAT SOME OF THEM OFFER
25 MASTER'S DEGREE, SOME OF THEM OFFER CERTIFICATES,

BETH C. DRAIN, CA CSR NO. 7152

1 AND SOME OF THEM ARE INCORPORATED INTO A BACHELOR
2 DEGREE PROGRAM. HOWEVER, THEY ALL SHARE SOME CORE
3 FEATURES IN COMMON. OF COURSE, THERE IS COURSEWORK
4 AND LABORATORY TECHNIQUE TRAINING TO HELP GIVE THEM
5 A STRONG FOUNDATION IN STEM CELL BIOLOGY AND
6 REGENERATIVE MEDICINE. AND IN THE PAST FIVE YEARS,
7 A FORMAL COURSE IN REGULATORY AFFAIRS HAS ALSO BEEN
8 A PART OF THIS COURSEWORK TO REALLY HELP THEM
9 UNDERSTAND THE DRUG DEVELOPMENT PROCESS AND HOW THE
10 WORK THAT THEY'RE DOING CAN FIT INTO THE DEVELOPMENT
11 OF MEDICINES FOR PATIENTS WITH UNMET MEDICAL NEEDS.

12 THE SECOND PANEL HERE, I'VE LISTED SOME
13 CORE ACTIVITIES THAT ARE AN ADDITIONAL PART OF THE
14 PROGRAM ON TOP OF THE TRADITIONAL COURSEWORK AND
15 LABORATORY TRAINING. THEY HAVE FORMAL STRUCTURED
16 ACTIVITIES IN WHICH THEY ENGAGE WITH PATIENTS SO
17 THEY CAN LEARN ABOUT THE PATIENT PERSPECTIVES AND
18 HOW THAT IMPACTS THEIR WORK AND HOW THEIR WORK
19 IMPACTS PATIENTS. ANOTHER FORMAL PART OF THEIR
20 CURRICULUM IS COMMUNITY OUTREACH, LEARNING TO
21 COMMUNICATE THE IMPORTANCE OF WHAT THEY DO BOTH TO
22 THE PUBLIC AND TO MEMBERS OF THEIR COMMUNITY AS WELL
23 AS TO OTHER SCIENTISTS.

24 AND, FINALLY, A THIRD KEY AND CRITICAL
25 COMPONENT OF EVERY BRIDGES PROGRAM IS A PAID

BETH C. DRAIN, CA CSR NO. 7152

1 RESEARCH INTERNSHIP AT A HOST INSTITUTION. THESE
2 STUDENTS TRAVEL FROM THEIR HOME INSTITUTION,
3 WHEREVER THAT IS BASED, TO PERFORM INTERNSHIPS,
4 DEPENDING ON THE PROGRAM, RANGING FROM 6 TO 12
5 MONTHS IN WORLD-CLASS STEM CELL LABORATORIES, WHICH
6 ARE FAMILIAR TO ALL OF US, PERFORMING STEM CELL
7 RESEARCH, MEDICAL SCHOOLS, THE MAJOR UNIVERSITIES
8 AND RESEARCH INSTITUTES AROUND THE STATE, AS WELL AS
9 A SIGNIFICANT NUMBER OF BIOTECH COMPANIES RANGING
10 FROM THE START-UP ENVIRONMENT TO MORE SUSTAINED
11 COMPANIES.

12 AT THE END OF THEIR EDUCATIONAL
13 EXPERIENCE, STUDENTS ARE ALL INVITED TO CONVENE TO
14 THE ANNUAL BRIDGES CONFERENCE WHERE THEY HAVE AN
15 OPPORTUNITY TO PRESENT THEIR RESEARCH TO ONE ANOTHER
16 IN THE FORM OF POSTERS, LISTENING TO INSPIRING
17 TALKS. FOR THE PAST COUPLE OF YEARS, THESE ANNUAL
18 CONFERENCES ARE ORGANIZED IN THE FORMAT WHERE THEY
19 HEAR FROM BASIC RESEARCH, STEM CELL BIOLOGISTS IN A
20 PARTICULAR DISEASE AREA, AS WELL AS A CLINICIAN WHO
21 IS RUNNING A CLINICAL TRIAL IN THAT DISEASE AREA,
22 AND FROM A PATIENT WHO EITHER HAS SUFFERED FROM THAT
23 DISEASE AND SHARES THEIR EXPERIENCE AND IN SOME
24 CASES HAS EVEN BEEN A PARTICIPANT IN ONE OF THOSE
25 CLINICAL TRIALS AND CAN SHARE THEIR STORIES WITH THE

1 STUDENTS.

2 SO AS I MENTIONED, THIS PROGRAM HAS BEEN
3 OPERATING CONTINUOUSLY FOR TEN YEARS NOW. IT'S IN
4 ITS ELEVENTH YEAR. AND I JUST WANTED TO SHARE A
5 LITTLE BIT OF WHAT WE LEARNED ABOUT THE OUTCOMES OF
6 THE ALUMNI OF THESE PROGRAMS IN THE FIRST TEN YEARS.

7 THERE'S BEEN NEARLY 1400 OF THEM.

8 FORTY-EIGHT PERCENT OF THESE STUDENTS ARE FIRST
9 GENERATION COLLEGE STUDENTS, THE FIRST PERSON IN
10 THEIR FAMILY TO ATTEND COLLEGE. AT THIS TIME, BASED
11 ON THE MOST RECENT INFORMATION PROVIDED FROM SOME OF
12 THESE COHORTS WHICH GO BACK FOR QUITE SOME TIME NOW,
13 THERE ARE A LITTLE MORE THAN 60 PERCENT WHO ARE
14 EMPLOYED IN RESEARCH AND DEVELOPMENT POSITIONS IN
15 LABORATORIES. A LITTLE MORE THAN HALF OF THOSE ARE
16 ACADEMIC LABORATORIES, AND A LITTLE LESS THAN HALF
17 ARE BIOTECH OR PHARMA LABORATORIES OR OTHER INDUSTRY
18 POSITIONS.

19 ABOUT A THIRD OF THESE STUDENTS DECIDE TO
20 CONTINUE THEIR CAREERS IN SCIENCE BY PURSUING HIGHER
21 EDUCATION, MANY OF THEM IN PH.D. PROGRAMS OR OTHER
22 FORMS OF PROFESSIONAL HEALTH SCIENCE SCHOOLS, SUCH
23 AS MEDICAL SCHOOL. AND ALTHOUGH THEIR RESEARCH
24 INTERNSHIPS ARE TYPICALLY A YEAR AT MOST IN THE
25 LABORATORY, THESE TRAINEES ARE CONTRIBUTING TO

BETH C. DRAIN, CA CSR NO. 7152

1 PUBLICATIONS IN HIGH IMPACT JOURNALS FROM THEIR TIME
2 THERE.

3 AND I'VE JUST GIVEN YOU QUITE A FEW
4 NUMBERS ABOUT OUTCOMES, BUT VERY BRIEFLY I WANTED TO
5 GIVE YOU SOME FACES TO GO WITH THOSE NUMBERS. SO
6 HERE ARE THREE RECENT EXAMPLES. I KNOW IN THE PAST
7 YOU'VE BEEN UPDATED ABOUT THIS PROGRAM, AND I WANTED
8 TO GIVE YOU SOME NEW EXAMPLES BECAUSE WE ARE
9 CONTINUING TO HAVE STUDENTS GRADUATE AND SUCCEED IN
10 THIS FIELD.

11 SO MICHAEL SILVA ON THE LEFT BEGAN HIS
12 EDUCATION AT SOLANO COMMUNITY COLLEGE AFTER WHICH HE
13 TRANSFERRED TO UC SANTA BARBARA. HE ENTERED IN THE
14 BRIDGES PROGRAM AT CAL STATE UNIVERSITY CHANNEL
15 ISLANDS AND DID HIS RESEARCH INTERNSHIP AT THE CITY
16 OF HOPE. AFTER HIS TRAINING WAS COMPLETE, HE TOOK A
17 JOB AT GENENTECH AT THEIR VACAVILLE MANUFACTURING
18 FACILITY. AND TODAY HE'S A PROFESSOR OF
19 BIOTECHNOLOGY BACK AT SOLANO COMMUNITY COLLEGE WHERE
20 HE STARTED BRINGING BACK THE LESSONS HE'S LEARNED
21 AND SHARING HIS PAST WITH OTHER STUDENTS AROUND HIM.

22 THE SECOND STORY, VAHID, WAS BROUGHT TO ME
23 BY DR. TREVOR CARDINAL WHO MANAGES THE CAL POLY
24 BRIDGES PROGRAM. VAHID HAD TO DROP OUT OF UC
25 BERKELEY DUE TO A FINANCIAL SITUATION WITH HIS

BETH C. DRAIN, CA CSR NO. 7152

1 FAMILY THAT HE NEEDED TO HELP THEM RECOVER FROM.
2 DURING THAT TIME HE WAS ABLE TO TAKE COURSES AT
3 COMMUNITY COLLEGE, AND EVENTUALLY HE WAS ABLE TO
4 TRANSFER TO CAL POLY INTO THE BRIDGES PROGRAM THERE.
5 HIS RESEARCH INTERNSHIP WAS AT A COMPANY CALLED
6 VIACYTE, WHICH YOU HEARD FROM DR. MILLAN'S LATER
7 STAGE CLINICAL TRIAL PROGRAMS THAT SHE WAS
8 DISCUSSING TODAY THAT HAVE RESULTS THAT ARE BEING
9 REPORTED. AND THEY ARE ALSO ONE OF THE FIRST
10 COMPANIES TO RUN A PLURIPOTENT STEM CELL THERAPY
11 TRIAL IN THE WORLD.

12 HE'S CURRENTLY -- HE GRADUATED FROM HIS
13 PROGRAM. HE'S CURRENTLY EMPLOYED AT VIACYTE AS A
14 MANUFACTURING ENGINEER.

15 AND MY THIRD EXAMPLE IS LAUGHING BEAR
16 TORREZ, AND SHE WAS ACTUALLY FEATURED IN A PREVIOUS
17 UPDATE WE DID ABOUT FIVE YEARS AGO FOR THE BRIDGES
18 PROGRAM, BUT I WANTED TO BRING HER TO YOUR ATTENTION
19 AGAIN TO SHARE HOW HER STORY HAS EVOLVED. SHE CAME
20 INTO THE BRIDGES PROGRAM FROM SAN BERNARDINO CAL
21 STATE PROGRAM, AND DID HER RESEARCH INTERNSHIP AT UC
22 RIVERSIDE. AFTER HER GRADUATING FROM THERE, SHE
23 BECAME ONE OF THE INAUGURAL PH.D. STUDENTS IN THE
24 STANFORD STEM CELL TRAINING GRANT PROGRAM. AND JUST
25 LAST SUMMER SHE GRADUATED WITH HER PH.D. FROM THAT

BETH C. DRAIN, CA CSR NO. 7152

1 PROGRAM AND IS NOW EMPLOYED AS A SCIENTIST AT BOLT
2 BIOTHERAPEUTICS.

3 MR. TORRES: I'M SO PROUD OF THIS PROGRAM
4 BECAUSE IT'S ONE THAT I ATTACHED MYSELF TO WHEN I
5 FIRST CAME TO CIRM, BUT ALSO BECAUSE OF WHAT THE
6 STUDENTS HAVE BEEN DOING. AND IF ANY BOARD MEMBER
7 HAS AN OPPORTUNITY TO GO TO ONE OF THESE
8 PRESENTATIONS, YOU WILL BE OVERWHELMED. THEY ARE SO
9 INSPIRING AND SO DEDICATED TO THE WORK THEY DO, AS
10 YOU WELL KNOW, AND FOLKS, THE STAFF, GILBERT AND
11 OTHERS HERE AND MARIA KNOW IN DEALING WITH MANY OF
12 THESE STUDENTS.

13 I ALSO HAVE TO NOTE AT SOLANO COMMUNITY
14 COLLEGE, THE LEGISLATURE PASSED IN 2014 LEGISLATION
15 TO ALLOW COMMUNITY COLLEGES TO GIVE BACCALAUREATE
16 DEGREES IN SPECIFIC AREAS OF EXPERTISE. ONE OF THEM
17 IS BIOMANUFACTURING. AND SOLANO COMMUNITY COLLEGE
18 HAS SUCH A PROGRAM, JUST DOWN THE ROAD UP HERE, AND
19 ALSO ONE IN SAN DIEGO. SO THERE'S A LOT OF EFFORT
20 THAT IS GOING ON.

21 SECONDLY, THE LEGISLATURE AND THE
22 CONSTITUTIONAL OFFICERS ALWAYS RELATE TO THIS
23 PROGRAM AND THE SPARKS PROGRAM, THE HIGH SCHOOL
24 PROGRAM, BECAUSE THEY SEE THE IMPACT WE ARE MAKING
25 ON THE FUTURE OF CALIFORNIA AND FUTURE STEM CELL

BETH C. DRAIN, CA CSR NO. 7152

1 SCIENTISTS THAT REFLECT THE DIVERSITY OF THIS STATE.
2 AND I THINK THAT'S SO IMPORTANT. AND THESE YOUNG
3 PEOPLE ARE REALLY GOING TO BE OUR ROLE MODELS THAT
4 WE'VE INVESTED IN EARLY ON THAT WILL HELP IN SO MANY
5 AREAS, NOT TO MENTION THE DIVERSITY OF CLINICAL
6 TRIAL PATIENTS WHICH WILL HAVE THAT IMPACT. THANK
7 YOU, KELLY.

8 DR. SHEPARD: YEAH. YOU'RE WELCOME. AND
9 I JUST WANT TO SAY THAT WHEN I LOOKED FOR A COUPLE
10 OF ANECDOTES TO PUT FACES BEHIND THE NUMBERS, I DID
11 NOT HAVE TO LOOK HARD. THAT'S THE JOY OF HELPING TO
12 ADMINISTER THIS PROGRAM IS SEEING HOW SUCCESSFUL
13 SOME OF THE STUDENTS ARE THAT ARE COMING OUT OF IT.
14 NOT SOME, BASICALLY ALL, AS YOU CAN SEE FROM THOSE
15 NUMBERS, ARE VERY SUCCESSFUL.

16 DR. FINE: THIS IS LEON FINE. I JUST
17 WANTED TO SAY THAT I THINK THIS IS ONE OF THE GREAT
18 ACHIEVEMENTS OF CIRM AND REALLY SHOULD RECEIVE THE
19 WIDEST POSSIBLE COVERAGE. IT'S SO ADMIRABLE.

20 DR. SHEPARD: THANK YOU.

21 DR. DULIEGE: AND, INDEED, I WANT TO ADD
22 MY VOICE AND MY APPLAUDS TO THIS PROGRAM,
23 PARTICULARLY FOR THE PAID RESEARCH INTERNSHIP
24 PROGRAM. AND THE MOST IMPORTANT WORD HERE IS PAID
25 BECAUSE IT IS VERY DIFFERENT TO SO MANY OTHER

BETH C. DRAIN, CA CSR NO. 7152

1 PROGRAMS WE KNOW ABOUT THAT GOT INTERNSHIPS THAT ARE
2 UNPAID AND THAT CREATES UPFRONT FROM THE GET-GO AN
3 UNACCEPTABLE INEQUALITY IN TERMS OF OPPORTUNITIES TO
4 IMPORTANT PROGRAMS THAT COULD BE REALLY PROFESSIONAL
5 LIFE-CHANGING AS MANY OF THESE INTERNS COULD NOT
6 AFFORD TO NOW BE PAID. SO CONGRATULATIONS FOR THIS.

7 MS. DURON: I THINK THIS IS FABULOUS.
8 THERE'S NOTHING LIKE CREATING A PIPELINE INTO
9 UNIVERSITY AND FOR A DIVERSE COMMUNITY OF
10 SCIENTISTS. I WOULD LOVE TO SEE THIS PROGRAM
11 SPOTLIGHTED AT THE CONFERENCE THAT WE'VE JUST VOTED
12 ON, ALLOWING NUMBERS OF THESE PEOPLE TO DESCRIBE
13 THAT EXPERIENCE, WHY IT'S CRUCIAL. SO IF YOU ARE
14 GOING TO HAVE INVESTORS AND OTHER PEOPLE IN THE ROOM
15 BESIDES SCIENTISTS WHO CAN BECOME MENTORS, IT GIVES
16 AN OPPORTUNITY TO SHOW THIS PIPELINE AND WHY IT'S SO
17 CRUCIAL BECAUSE THIS IS THE FUTURE. AND WE NEED TO
18 MAKE SURE THAT IT IS DIVERSE. AND I REALLY LOVE
19 THAT THEY ALSO DEAL IN COMMUNITY ENGAGEMENT BECAUSE
20 THAT'S WHERE IT'S AT. THAT'S GOT TO HAPPEN THAT
21 WAY. SO THANK YOU. AND CONGRATULATIONS.

22 DR. SHEPARD: THAT'S A GOOD IDEA. AND MY
23 OWN INTERACTION WITH ALUMNI FROM THIS PROGRAM IS
24 THEY'RE VERY ENGAGED AND EAGER TO HELP TOO. IN
25 FACT, A FEW MONTHS AGO DURING THE DISCOVERY DAY AT

BETH C. DRAIN, CA CSR NO. 7152

1 AT&T PARK, I WAS GOING TO SAY WHERE THE GIANTS PLAY,
2 IN SAN FRANCISCO, ORACLE, OH, SORRY. YOU CAN TELL I
3 DON'T FOLLOW SPORTS. BUT ANYWAY --

4 CHAIRMAN THOMAS: I DON'T FOLLOW THE
5 GIANTS EITHER, FOR THE RECORD.

6 MR. ROWLETT: THOSE OF US ON THE PHONE ARE
7 STILL LISTENING.

8 DR. SHEPARD: I BROUGHT MY DAUGHTER WITH
9 ME AND WE VOLUNTEERED, AND WE SPOKE TO MANY
10 STUDENTS. AND SOME OF THE OTHER PEOPLE WHO
11 VOLUNTEERED TO COME HELP CIRM WERE BRIDGES ALUMNI IN
12 THE SAN JOSE STATE PROGRAM. ONE OF THEM, I FOUND
13 OUT, EVEN HAD GONE TO THE SAME HIGH SCHOOL AS MY
14 DAUGHTER. AND I JUST THOUGHT THAT WAS WONDERFUL.
15 THEY'RE AROUND, THEY'RE ENGAGED, AND THEY'RE EAGER
16 TO SHARE WHAT THEY EXPERIENCED WITH UP AND COMING
17 STUDENTS. SO THE PROGRAM HAS BEEN VERY REWARDING TO
18 BE A PART OF.

19 DR. YAMAMOTO: I WAS JUST GOING TO ADD
20 THAT YOU GOT TO BE CAREFUL. THESE ARE THE DOCTORS
21 THAT ARE GOING TO BE TAKING CARE OF US IN A FEW
22 YEARS. SO GOT TO TRAIN THEM WELL AND TREAT THEM
23 WELL. THEY WILL REMEMBER.

24 DR. SHEPARD: SO IF THERE ARE NO QUESTIONS
25 ABOUT THE BRIDGES PROGRAM, I'LL MOVE ON TO THE

BETH C. DRAIN, CA CSR NO. 7152

1 SECOND TRAINING PROGRAM, WHICH ARE THE SPARK AWARDS.
2 AND, OF COURSE, IF ANY OTHER QUESTIONS COME TO MIND
3 AT THE END OF MY TALK, I'M HAPPY TO TAKE THEM.

4 SO THE NEXT PROGRAM I'M GOING TO TELL YOU
5 ABOUT ARE THE SPARK AWARDS. AND THIS IS OUR HIGH
6 SCHOOL LEVEL TRAINING PROGRAM. SO THE OBJECTIVE OF
7 THESE AWARDS IS TO PROVIDE HIGH SCHOOL STUDENTS WITH
8 HANDS-ON TRAINING IN STEM CELL RESEARCH THROUGH
9 SUMMER INTERNSHIPS AND TO INSPIRE THEIR INTEREST IN
10 REGENERATIVE MEDICINE AS A SECONDARY GOAL.

11 THESE PROGRAMS SUPPLEMENT AND INTEGRATE
12 WITHIN EXISTING SUMMER PROGRAMS THAT ARE SPONSORED
13 BY ELIGIBLE CALIFORNIA INSTITUTIONS. NOW, I HAVE A
14 SLIDE THAT'S SIMILAR TO THE ONE I SHOWED YOU FOR
15 BRIDGES. THE SPARK PROGRAM AND ITS PREDECESSOR, THE
16 CREATIVITY AWARDS, HAVE BEEN OPERATING SINCE 2012.
17 HISTORICALLY, TEN DIFFERENT PROGRAMS WERE FUNDED
18 ACROSS THE STATE. CURRENTLY SEVEN OF THESE PROGRAMS
19 ARE ACTIVE, AND THEY'RE OFFERED BY THE INSTITUTIONS
20 SHOWN ON THE SLIDE.

21 NOW, THE SPARK PROGRAMS ALL HAVE CERTAIN
22 FEATURES IN COMMON, SOME OF WHICH WILL BE FAMILIAR
23 TO YOU BECAUSE THEY'RE SHARED WITH OUR BRIDGES
24 TRAINING PROGRAMS. BUT SINCE THESE ARE HIGH SCHOOL
25 LEVEL STUDENTS AND THEY'RE IN HIGH SCHOOL FOR MOST

BETH C. DRAIN, CA CSR NO. 7152

1 OF THE YEAR, THEIR RESEARCH INTERNSHIPS ARE DONE
2 DURING THE SUMMER, DURING A 12-WEEK PERIOD OF TIME.
3 BEFORE THEY START THEIR INTERNSHIPS, THEY DO HAVE
4 SOME PREPARATORY COURSES AND WORKSHOPS TO HELP THEM
5 BE READY TO HIT THE GROUND RUNNING WHEN THEY GO TO
6 THOSE RESEARCH LABORATORIES WHICH ARE AT PLACES LIKE
7 STANFORD AND UCSF, AS YOU SAW IN THAT SLIDE. SO
8 WORLD-CLASS RESEARCH LABORATORIES, OPPORTUNITIES TO
9 WORK WITH PEOPLE WHO ARE LEADERS IN THAT FIELD.

10 THEY ALSO HAVE PATIENT ENGAGEMENT
11 ACTIVITIES WHERE THEY WORK DIRECTLY IN A STRUCTURED
12 ACTIVITY WITH PATIENTS IN ORDER TO HELP THEM
13 UNDERSTAND THE PERSPECTIVE OF THOSE PATIENTS AND WHY
14 THEIR WORK IS IMPORTANT TO THEM AND TO OUR
15 COMMUNITY. AND ALSO THERE'S A FORMAL COMMUNITY
16 OUTREACH COMPONENT. AND SPECIFICALLY WITH THIS
17 PROGRAM, IT INVOLVES SOCIAL MEDIA. AND WE ALL KNOW
18 HIGH SCHOOL STUDENTS ARE GREAT AT SOCIAL MEDIA. SO
19 LET'S TAKE ADVANTAGE OF THAT AND HAVE THEM USE THAT
20 AS A PLATFORM TO REACH OUT AND SHARE WITH THE
21 COMMUNITY THE VALUE OF WHAT THEY DO. YOU MIGHT HEAR
22 A LITTLE BIT MORE ABOUT THIS LATER.

23 IF YOU READ CIRM'S BLOG, YOU CAN READ
24 ACTUALLY SOME OF THE BLOG AND INSTAGRAM POSTS THAT
25 THESE STUDENTS HAVE PUT OUT OVER THE YEARS, WHICH

BETH C. DRAIN, CA CSR NO. 7152

1 ARE VERY INSPIRING.

2 AT THE CULMINATION OF THEIR SUMMER
3 INTERNSHIP, WE DO CONVENE ALL THE STUDENTS FROM ALL
4 THE PROGRAMS ACROSS THE STATE FOR AN ANNUAL SPARK
5 POSTER DAY WHERE THEY GET TOGETHER AND THEY SHARE
6 THEIR RESEARCH PROJECTS WITH ONE ANOTHER ON POSTERS.
7 SOME OF THEM ARE SELECTED TO GIVE FORMAL
8 PRESENTATIONS, AND WE CELEBRATE THEIR BLOGS AND
9 THEIR SOCIAL MEDIA ACTIVITIES. AND PARENTS ARE
10 INVITED, AND IT'S A VERY INSPIRING DAY FOR EVERYONE
11 INVOLVED, INCLUDING THE CIRM TEAM.

12 SO THIS PROGRAM HASN'T BEEN GOING ON QUITE
13 AS LONG AS THE BRIDGES PROGRAM, BUT WHAT I CAN TELL
14 YOU NOW IS THAT TO DATE WE HAVE HAD 482 STUDENTS
15 COMPLETING THEIR INTERNSHIPS SINCE 2012. MANY OF
16 THE TRAINEES ARE STILL IN HIGH SCHOOL BECAUSE THEY
17 DO THEIR INTERNSHIPS A COUPLE YEARS BEFORE THEY
18 GRADUATE IN SOME CASES. BUT OF SOME 76 RECENT
19 ALUMNI WHO HAVE REPORTED THEIR COLLEGE ATTENDANCE TO
20 US SO FAR, I CAN TELL YOU THAT ABOUT 50 PERCENT OF
21 THEM ARE ATTENDING A UC, ABOUT 18 PERCENT ARE
22 ATTENDING ANOTHER SCHOOL IN CALIFORNIA, AND ABOUT A
23 THIRD OF THEM ARE ATTENDING SCHOOLS OUTSIDE OF
24 CALIFORNIA, INCLUDING SOME OF THE VERY WELL
25 RECOGNIZED AND PRESTIGIOUS SCHOOLS THAT YOU SEE

BETH C. DRAIN, CA CSR NO. 7152

1 LISTED ON THE SLIDE.

2 ALTHOUGH SOME OF THEM ARE PURSUING OTHER
3 TYPES OF STEM CAREERS, MANY OF THEM ARE PURSUING
4 BIOLOGY AS THEIR FIRST CHOICE OF A MAJOR. AND SO
5 HOPEFULLY THE NEXT TIME I PRESENT AN UPDATE ON THIS,
6 I'LL HAVE MORE INFORMATION TO SHARE WITH YOU ABOUT
7 THIS. WE MAY ALSO HAVE SOME INSPIRING STORIES TO
8 SHARE WITH YOU, ONE OF WHICH IS ABIGAIL MORA WHO'S
9 SHOWN ON THIS SLIDE. SHE WAS IN THE SUMMER
10 INTERNSHIP PROGRAM LAST SUMMER, AND THERE SHE IS
11 HOLDING AN AWARD SHE RECEIVED FOR THE MOST INSPIRING
12 BLOG. SHE DESCRIBED HOW, AS A CHILD IN MEXICO, THE
13 EXPERIENCE OF HER BROTHER, WHO WAS BORN PREMATURE,
14 INSPIRED HER TO LEARN MORE ABOUT WHAT HAPPENS DURING
15 DEVELOPMENT AND WHAT HAPPENS DURING DEVELOPMENT WHEN
16 A BABY IS BORN PREMATURE. AND SHE WAS ABLE TO DO AN
17 INTERNSHIP IN A LABORATORY AT UCSF TO STUDY THIS
18 VERY ISSUE.

19 SO THAT CONCLUDES MY BRIEF UPDATE ON THE
20 STATUS OF THESE PROGRAMS AND OUTCOMES. I'VE
21 INCLUDED A LINK TO OUR WEBSITE WHERE MORE
22 INFORMATION ABOUT THE INDIVIDUAL PROGRAMS CAN BE
23 FOUND IF YOU'RE INTERESTED IN A SPECIFIC ONE. AND
24 I'M HAPPY TO TAKE ANY QUESTIONS NOW IF YOU HAVE ANY.

25 CHAIRMAN THOMAS: KELLY, I'M JUST GOING TO

BETH C. DRAIN, CA CSR NO. 7152

1 POINT OUT, I HAVE IN THE PAST, THAT THE SPARK
2 PROGRAM IS ONE OF MY PERSONAL FAVORITES. THESE
3 KIDS, MOST OF THEM ARE AP BIO STUDENTS THAT GO INTO
4 THESE SUMMER PROGRAMS. AP BIO COVERS A LOT OF
5 TERRITORY, A SMALL PERCENTAGE OF WHICH IS STEM CELL
6 RELATED. SO THEY GO INTO THIS WITH NOT A LOT OF
7 BACKGROUND.

8 AND WHEN YOU GO TO THIS POSTER DAY, AND
9 YOU LISTEN TO THESE KIDS, IT IS UNBELIEVABLE. THEY
10 SOUND LIKE PH.D. STUDENTS. AND THE ONES THEY SELECT
11 TO GIVE THE PRESENTATIONS, YOU JUST SIT THERE AND
12 YOU'RE SHAKING YOUR HEAD AND YOU'RE SAYING YOU GOT
13 TO BE KIDDING ME. THESE KIDS ARE SO TALENTED,
14 THEY'RE SO PASSIONATE, THEY'RE SO INTO THIS, IT
15 BODES VERY WELL FOR THEM GOING ON TO PURSUE THIS IN
16 SOME FASHION IN COLLEGE AND BEYOND THAT INTO THE
17 WORKFORCE.

18 AND I THINK THAT THE FACT THAT WE PROVIDE
19 THIS PROGRAM IS ANOTHER GREAT FEATURE OF WHAT WE DO,
20 WHICH IS UNIQUE, TO GO ALONG WITH THE BRIDGES
21 PROGRAM, WHICH I ECHO SENATOR TORRES' COMMENTS ON,
22 WHICH IS JUST A HUGE SUCCESS. AND TO THE EXTENT,
23 WITH RESPECT TO SPARK, ANY OF YOU HAPPEN TO BE
24 AROUND, THE POSTER DAY IS USUALLY IN ABOUT THE
25 SECOND WEEK OF AUGUST ROUGHLY, SHOULD CHECK IT OUT.

BETH C. DRAIN, CA CSR NO. 7152

1 THESE KIDS ARE REALLY AMAZING. CONGRATULATIONS TO
2 YOU FOR THIS.

3 DR. SHEPARD: GOING TO BE IN SACRAMENTO
4 THIS COMING SUMMER.

5 CHAIRMAN THOMAS: OKAY. THANK YOU VERY
6 MUCH.

7 DR. SHEPARD: THANK YOU. DR. MILLAN.

8 DR. MILLAN: SO ON BEHALF OF THE CIRM TEAM
9 AND CHAIRMAN THOMAS, WHAT WE'D LIKE TO DO TODAY IS
10 INTRODUCE SOME STRATEGIC THEMES FOR THE BOARD TO
11 CONSIDER. AS CHAIRMAN THOMAS HAS MENTIONED IN THE
12 BEGINNING OF THE MEETING, THERE WAS A, I DON'T KNOW
13 IF YOU CALL IT A FORMAL REQUEST, BUT I THINK AN
14 INTEREST IN THE IDEA OF BEGINNING OUR STRATEGIC
15 PLANNING SO THAT, IN THE EVENT THAT THERE IS A NEXT
16 INITIATIVE, WE ARE REALLY NOT CAUGHT WITH ANY
17 DOWNTIME.

18 WE ARE FORTUNATE IN THAT THIS BOARD HAS
19 SUPPORTED THE MAINTENANCE OF A VERY STRONG CORE OF
20 THE CIRM TEAM, WHICH HAVE CONTINUED TO MANAGE THEIR
21 PROJECTS AS YOU CAN SEE. THERE'S ACTIVE MANAGEMENT
22 A LOT GOING ON WITH THESE PROGRAMS. BUT IN
23 ADDITION, WE CAN USE THAT KNOWLEDGE AND THE
24 EXPERTISE OF THAT TEAM TO HELP DEVELOP DRAFT PLANS
25 THAT WE CAN ENGAGE THE BOARD IN AND DEVELOP A DRAFT

BETH C. DRAIN, CA CSR NO. 7152

1 PLAN THAT WE CAN PRESENT IN OCTOBER AND THEN BRING
2 FOR FORMAL DISCUSSION AND APPROVAL EARLY NEXT YEAR
3 SHOULD THERE BE AN INITIATIVE THAT GOES THROUGH.

4 SO WITHOUT ANY FURTHER DELAY, THE BROAD
5 OUTLINE IN THE PROCESS, AND I SHOULD SAY THAT OVER
6 THE COURSE OF THE YEARS THAT CIRM HAS BEEN IN
7 EXISTENCE AS WELL AS ALL THE RECENT TYPES OF
8 CONFERENCES, WORKSHOPS, AND CONSIDERATIONS THAT THE
9 CIRM TEAM HAS BEEN INVOLVED IN, WE HAVE QUITE A BIT
10 OF INFORMATION TO BRING TO THIS PROCESS. THIS
11 HELPED US IDENTIFY CRITICAL GAPS AND OPPORTUNITIES
12 AND WHAT THE NEXT CIRM COULD LOOK LIKE. AND THAT
13 WILL PROVIDE OPPORTUNITIES TO ENGAGE WITH OUR BOARD
14 AND THE VARIOUS TOPICS, SOME OF WHICH WE ALREADY
15 TOUCHED ON TODAY, CONTINUE ADDITIONAL OUTREACH, AND
16 DRAW FROM THE KNOWLEDGE GAINED FROM THE PREVIOUS
17 INPUT AS WELL AS PERHAPS CONTINUE OUTREACH TO INFORM
18 THE PROCESS.

19 THE OUTCOME, THIS IS THEN TO DEVELOP KIND
20 OF A GAP ANALYSIS AS WELL AS A LIST OF THINGS OR
21 REFINEMENTS OR IMPROVEMENTS WE CAN BRING TO OUR
22 CURRENT PROGRAMS. AND THAT WE WOULD THEN ENGAGE IN
23 A PROCESS AFTER THE NEW BOARD APPROVES A FORMAL
24 PLAN, THAT WE'D ALREADY BE TEED UP TO BE BRINGING
25 CONCEPT PROPOSALS TO THE BOARD TO SUPPORT THE

1 STRATEGIC PLAN.

2 SO THE FIRST STEP OF THIS IS FIRST GETTING
3 AGREEMENT IN TERMS OF WHAT THE STRATEGIC THEMES
4 WOULD BE, WHAT TYPES OF INFORMATION AND GAP ANALYSIS
5 AND KNOWLEDGE WOULD WE BRING TOGETHER TO INFORM
6 POTENTIAL NEW CONCEPTS AND NEW PROGRAMS.

7 SO OUR MISSION IS CURRENTLY ACCELERATE
8 STEM CELL TREATMENTS TO PATIENTS WITH UNMET MEDICAL
9 NEEDS. WE'VE EXPANDED THAT TO GENE THERAPIES AS
10 WELL. SO I'VE JUST, IN THIS SLIDE, JUST FOR
11 CONSIDERATION, WE HAVE THE OPPORTUNITY TO RELOOK AT
12 THAT MISSION. SO THAT'S SOMETHING THAT WE WOULD
13 ALSO BRING TO THE BOARD. RIGHT NOW, TO BE
14 CONSISTENT WITH WHAT WE ARE FUNDING, IT'S STEM CELL
15 AND REGENERATIVE MEDICINE TREATMENTS TO PATIENTS
16 WITH UNMET MEDICAL NEEDS.

17 THE FOUR THEMES THAT WE'D LIKE TO DISCUSS
18 TODAY ARE ADVANCING WORLD-CLASS SCIENCE AND
19 DEVELOPMENT OF DEFINITIVE AND CURATIVE THERAPIES,
20 BACKGROUND PATHWAYS TO COMMERCIALIZATION, INCREASING
21 ACCESS TO PATIENTS, AND MAXIMIZING FUNDING THROUGH
22 OPERATIONAL EXCELLENCE. YOU'VE ALREADY HEARD
23 EXAMPLES OF HOW CIRM IS UNIQUE AND HOW WE STRIVE FOR
24 OPERATIONAL EXCELLENCE, BUT WE BELIEVE THAT WE CAN
25 EVEN DO MORE.

BETH C. DRAIN, CA CSR NO. 7152

1 AND SO WHAT I'D LIKE TO DO, THEN, IS TAKE
2 THEM ONE BY ONE SO THAT THE BOARD HAS THE
3 OPPORTUNITY TO DISCUSS THESE TOPICS AND PROVIDE
4 INPUT OR HAVE FOLLOW-UP CONVERSATIONS WITH US
5 REGARDING THESE CONCEPTS.

6 IN TERMS OF ADVANCING WORLD-CLASS SCIENCE,
7 OUR MECHANISM FOR DOING THIS IS THROUGH OUR REVIEW
8 AND FUNDING MECHANISM WHERE WE HOLD THE PROJECTS
9 ACCOUNTABLE THROUGH MILESTONES BASED ON OPERATIONAL
10 EXPECTATIONS AND A CONTINUOUS AND PREDICTABLE
11 FUNDING STREAM SO THAT PROGRAMS CAN GO FROM ONE
12 STAGE TO THE NEXT. AND WE BELIEVE THAT THAT IS
13 SOMETHING THAT IS WORTHWHILE RETAINING.

14 THERE ARE FIVE FUNDING PILLARS WHICH
15 YOU'VE HEARD ABOUT: DISCOVERY, TRANSLATION,
16 CLINICAL, EDUCATION, AND INFRASTRUCTURE. OUR
17 BASELINE EXPECTATION IS WE WOULD WANT TO RETAIN ALL
18 THESE FIVE PILLARS.

19 DISCOVERY, WE THINK, IS ABSOLUTELY
20 IMPORTANT AND FUNDAMENTAL AND NECESSARY JUST ON ITS
21 OWN, BUT IT ALSO GIVES RISE TO THE DEVELOPMENT
22 CANDIDATES THAT ARE THEN BROUGHT FORWARD THROUGH THE
23 OTHER PROGRAMS.

24 YOU HEARD ABOUT THE EDUCATIONAL PROGRAM.
25 WE BELIEVE THAT THERE IS EVEN MUCH MORE WE COULD DO

1 WITH THAT.

2 AND INFRASTRUCTURE, WE ARE THINKING
3 PRIMARILY OF PROGRAMMATIC INFRASTRUCTURE LIKE
4 CLINICAL NETWORKS, PERHAPS PATIENT NAVIGATION, AND
5 DIFFERENT WAYS THAT WE CAN INTEGRATE THE PROGRESS OF
6 THE SCIENCE ALONG WITH MAKING SURE THAT THE
7 COMMUNITY'S IN STEP WITH US AND UNDERSTAND WHAT'S
8 GOING ON, NOT ONLY JUST UNDERSTANDING IT, BUT
9 ACTUALLY HAVE A SAY AND INPUT BECAUSE THIS IS
10 EXTREMELY IMPORTANT.

11 SO THOSE ARE JUST SOME EXAMPLES. WE WOULD
12 IDENTIFY AREAS THAT DON'T NECESSARILY FIT INTO THE
13 FIVE PILLARS; FOR INSTANCE, ACCESS IN TERMS OF
14 REIMBURSEMENT, DATA-DRIVEN SOLUTIONS TO SOME OF THE
15 THINGS THAT WE ARE TALKING ABOUT, BEING ABLE TO
16 SUPPLY THE HEALTHCARE ECONOMICS VALUE EVIDENCE.
17 THAT'S A POSSIBILITY. IDENTIFY PRIOR PROGRAM AND
18 INFRASTRUCTURE INVESTMENTS THAT COULD BE LEVERAGED
19 FOR FUTURE PROGRAMS. WE BELIEVE THE ALPHA CLINIC
20 NETWORK AND THEIR PARTNER COMMUNITY HOSPITALS WILL
21 BE CRITICAL IN BRINGING THE NEW TECHNOLOGIES
22 FORWARD, AGAIN, IN PARTNERSHIP WITH THE COMMUNITIES.
23 AND SO THAT'S GOING TO REQUIRE NOT ONLY WORKFORCE
24 DEVELOPMENT, BUT EVOLUTION OF A TYPE OF CARE
25 DELIVERY AND TOOLS WE HAVE. AND, FINALLY, THE IDEA

BETH C. DRAIN, CA CSR NO. 7152

1 OF A CONSORTIA OR MOONSHOT APPROACHES, AND THIS
2 CONCEPT WAS BROUGHT FORWARD BY THE GWG DURING THAT
3 RETREAT THAT DR. SAMBRANO SUMMARIZED AT THE LAST
4 BOARD MEETING.

5 OUR REVIEWERS FELT THAT THIS WAS AN
6 IMPORTANT MODEL TO CONSIDER BECAUSE CIRM FUNDS
7 HIGHLY RIGOROUS SCIENCE, BUT TO DO IT IN A
8 COORDINATED FASHION WOULD POTENTIALLY BRING EVEN
9 MORE ACCELERATION AND VALUE PER DOLLAR OF WHAT WE
10 INVEST INTO THE SCIENCE.

11 SO I THINK I'M GOING TO JUST STOP RIGHT
12 THERE AND TAKE QUESTIONS. AND THIS REALLY IS THE
13 OPPORTUNITY FOR THE BOARD TO DISCUSS, ASK QUESTIONS
14 ABOUT THESE VARIOUS TOPIC AREAS.

15 CHAIRMAN THOMAS: MS. DURON.

16 MS. DURON: GRACIAS. WHY HAVE YOU NOT
17 CONSIDERED THE COMMUNICATIONS AND DISSEMINATION AS A
18 VERY STRATEGIC PILLAR?

19 DR. MILLAN: IT IS. IT'S IN THEME
20 FOUR -- THEME THREE, ACCESS. AND WE CAN DISCUSS
21 THAT NOW BECAUSE ONE OF THE CONCEPTS IS THAT THESE
22 FOUR THEMES WILL ACTUALLY OVERLAP. SO SOME OF
23 THE -- KIND OF THE TOPICS THAT ARISE HERE, FOR
24 INSTANCE, WILL BE BY NATURE AND BY NECESSITY LINKED
25 TO OTHER ASPECTS OF HOW YOU DISSEMINATE THE

BETH C. DRAIN, CA CSR NO. 7152

1 INFORMATION, HOW THEY NAVIGATE PATIENTS THROUGH
2 CLINICAL TRIALS, NOVEL THERAPIES, HOW THEY'RE
3 ENGAGED AND INVOLVED IN DATA. YOU MENTIONED DATA.
4 THAT IS THE NEW OIL OR THE GOAL THAT SOME HAVE
5 TALKED ABOUT. THESE ARE ALL INTERLINKED AND
6 INTERRELATED.

7 PATIENT ACCESS IN TERMS OF JUST THE
8 PRACTICE ASPECTS OF ACTUALLY KNOWING THAT THERE ARE
9 THESE THERAPIES OUT THERE. ACCESS IN TERMS OF
10 PAYMENT THAT'S GOING TO BE HEALTHCARE ECONOMICS AND
11 VALUE DRIVEN. ACCESS IN TERMS OF THE CARE DELIVERY
12 SYSTEM BEING ABLE TO ACCOMMODATE THESE NEW
13 THERAPIES. SO THEY ALL ARE INTERLINKED.

14 BUT TO MAKE IT, TO BE ABLE TO APPROACH
15 THIS FROM KIND OF AN ORGANIZED FASHION, THEY'RE
16 ORGANIZED INTO THESE FOUR THEMES. AND WHAT YOU'RE
17 SPECIFICALLY TALKING ABOUT IS IN THE ACCESS.

18 MS. DURON: CAN I INTERPRET, THOUGH, THAT
19 WHAT YOU SAID IS THAT UNDER EACH ONE OF THOSE, LET'S
20 CALL IT A BUCKET OR A PILLAR, THERE IS VERY STRONG
21 EMPHASIS ON COMMUNICATION AND DISSEMINATION FOR EACH
22 OF THOSE AT ALL OF THOSE LEVELS AND, THEREFORE, THAT
23 REQUIRES SOME LINE ITEM DOLLARS FOR COMMUNICATION
24 AND DISSEMINATION --

25 DR. MILLAN: YES.

BETH C. DRAIN, CA CSR NO. 7152

1 MS. DURON: -- AT EVERY LEVEL? AND THAT
2 HELPS DEFINE THE OVERARCHING TRANSPARENCY AS WELL AS
3 UNDERSTANDING IN THE PUBLIC AND NOT JUST BUCKETS OF
4 INTERESTED PARTIES? I JUST THINK IF YOU DEPEND ON A
5 WEBSITE, IF YOU LOOK AT HOW MANY PEOPLE IN
6 CALIFORNIA VERSUS HOW MANY ACTUALLY VISIT A WEBSITE,
7 YOU'RE NEVER GOING TO GET YOUR MESSAGE OUT. SO HOW
8 DO YOU BEGIN TO USE --

9 DR. MILLAN: ABSOLUTELY.

10 MS. DURON: -- MULTIPLE WAYS TO GET THE
11 MESSAGE OUT, BUT AT EACH LEVEL, BECAUSE I THINK EACH
12 OF THOSE ARE IMPORTANT, BUT WE ALL NEED TO
13 UNDERSTAND THEM AND HAVE THEM DISSEMINATED.

14 DR. MILLAN: ABSOLUTELY. SO JUST TO GIVE
15 A SPECIFIC ON THAT, YOU JUST HEARD ABOUT THE
16 EDUCATION PROGRAM, WHICH IS ACTUALLY ONE OF THE
17 PILLARS. SO IN TERMS OF ENGAGEMENT AND
18 COMMUNICATION, THOSE ARE THE AMBASSADORS AS WELL AS
19 THE FUTURE PROFESSIONALS IN THE FIELD, FOR INSTANCE.

20 ONE OF THE KEY, I THINK, GLUES TO THIS
21 WHOLE THING IS HAVING THE MOST SOLID EVIDENCE-BASED
22 SOURCES BY WHICH WE CAN EDUCATE, COMMUNICATE, AND
23 INFORM BOTH THE RESEARCH, THE COVERAGE OF THE
24 TREATMENTS, BUT ALSO HOW THESE TREATMENTS ARE
25 APPLIED. PATIENTS SHOULD BE AWARE OF THEIR

BETH C. DRAIN, CA CSR NO. 7152

1 DIFFERENT TREATMENT OPTIONS. HEALTHCARE PROVIDERS
2 SHOULD BE AWARE OF THESE NOVEL TREATMENTS COMING OUT
3 THERE. SO THESE ARE ALL TOPIC AREAS THAT WE'VE
4 ALREADY STARTED TO BE INVOLVED IN CONVERSATIONS WITH
5 VARIOUS STAKEHOLDERS THAT ARE GOING TO LEAD EVEN
6 NATIONAL-BASED EFFORTS.

7 SO WE IN LOOKING AT THE LANDSCAPE ARE
8 LOOKING AT ALL THOSE MAJOR TIDES AND MAKING SURE WE
9 ALIGN IN A WAY THAT WILL BENEFIT THE STAKEHOLDERS
10 THAT WE WOULD SERVE THROUGH CIRM FUNDING AND
11 DEVELOPMENT OF THESE TREATMENTS.

12 MS. DURON: MAY I HAVE THE PRIVILEGE OF
13 THE FLOOR FOR ONE MORE THING JUST BECAUSE IT'S A
14 THEME? I THINK WE ARE ALL PATIENTS AT ONE POINT OR
15 TIME OR ANOTHER. SO TO ME WE ARE SORT OF A
16 COMMUNITY OF PATIENTS. AND SO THE IDEA OF
17 DISSEMINATING OUT TO THE PUBLIC AND NOT JUST THE
18 PATIENTS, TO ME, IS A BROADER WAY --

19 DR. MILLAN: ABSOLUTELY.

20 MS. DURON: -- OF ADDRESSING THAT ISSUE.
21 BECAUSE AT ONE POINT IN TIME, SOMEONE IN THEIR
22 FAMILY MAY, OF COURSE, BE BORN WITH OR NEED AND TO
23 ACCESS THIS. SO YOU WANT THEM AWARE OF IT INSTEAD
24 OF THEM SUDDENLY STUMBLING INTO THE DOCTOR'S OFFICE,
25 YOUR CHILD HAS THIS, OR YOU HAVE THIS, HELP YOU

1 UNDERSTAND IT.

2 SO I THINK IT'S JUST BOTTOM LINE WHAT I
3 THINK JOURNALISTS DO IS EDUCATE THE PUBLIC, PERIOD.
4 AND MAYBE I'M OVERREACHING HERE, BUT I'M WANTING TO
5 EDUCATE CALIFORNIA AND NOT JUST PATIENTS OR SILOS OF
6 PEOPLE. SO WHEN I TALK ABOUT DISSEMINATION, I TALK
7 ABOUT A BROAD WAY OF FIGURING OUT HOW TO DO THAT,
8 BUT MAKING SURE THAT IT'S ADEQUATELY FUNDED AT EACH
9 LEVEL OF THOSE SO THAT WE ARE ALL WORKING IN CONCERT
10 AND TANDEM TOGETHER AND UTILIZING THE MOST OF OR ALL
11 OF THESE RESOURCES, BUT BEING ABLE TO MAKE SURE THAT
12 THE PUBLIC IS GOING TO HEAR ABOUT IT SOME WAY,
13 SOMEHOW AND BE ABLE TO SAY, "OH, I HEARD ABOUT THAT.
14 WHAT ABOUT THAT? OH, I'M GOING TO LOOK THAT UP."
15 SO YOU MAY ALREADY BE THINKING ABOUT THIS.

16 DR. MILLAN: THESE ARE VERY IMPORTANT
17 ISSUES. IN FACT, WE HAD A VERY SMALL CONVERSATION
18 THAT WE HAD RECENTLY AT THE JP MORGAN CONFERENCE.
19 DR. MALKAS WAS INVOLVED IN THAT MEETING AS A
20 REPRESENTATIVE.

21 DR. MALKAS: IT WAS A FANTASTIC WORKSHOP
22 THAT CIRM PUT TOGETHER AND HOSTED WHICH REALLY
23 BROUGHT ALL KINDS OF MEMBERS OF THE COMMUNITY FROM
24 THE MEDICAL PROFESSIONALS TO SCIENTISTS TO THE
25 INSURANCE TO ACTUALLY A NUMBER OF FOUNDATION,

BETH C. DRAIN, CA CSR NO. 7152

1 DISEASE FOUNDATIONS WERE INVOLVED. AND THE WORKSHOP
2 WAS PHENOMENAL. IT WAS CLOSED DOOR, BUT YOU BROUGHT
3 ALL THESE DIFFERENT MINDS TOGETHER AND CAME IN
4 LOOKING AT A PROBLEM AND IDENTIFYING THE GAPS. IT
5 WAS SUCH AN AMAZING MEETING, THAT I SAID TO MARIA,
6 "YOU GOT TO PUT A WHITE PAPER TOGETHER ON THIS"
7 BECAUSE THEY REALLY HIT WHERE WE ARE GETTING IT
8 RIGHT AND WHERE THERE IS ROOM FOR IMPROVEMENT. BUT
9 IT WAS REALLY VERY WELL DONE BECAUSE SO MANY
10 DIFFERENT VOICES WERE AT THE TABLE, AND THEY HAD THE
11 ABILITY TO ARTICULATE.

12 SO THAT IS PART OF DISSEMINATION OF
13 INFORMATION AS WELL. IF YOU WANT TO ADD TO THAT.

14 DR. MILLAN: IT WAS MEANT BY NATURE
15 BECAUSE OF THE -- YOU CAN ONLY DO SO MUCH IN THE
16 FIRST. IT WAS AN INTRODUCTION TO THIS CONCEPT AND
17 TO GET BUY-IN FROM DIFFERENT -- SO WE HAD THE FDA
18 THERE, WE HAD FOLKS WHO LED POLICY INITIATIVES,
19 FORMER CMS ADMINISTRATOR, FOUNDATIONS, PATIENT
20 ADVOCATES, OUR BOARD MEMBERS WHO ARE ALSO PATIENT
21 ADVOCATES TALKING ABOUT THE CONVERSATION ABOUT WHERE
22 WE DON'T HAVE IT RIGHT YET IS COMMUNICATING WELL,
23 AND THAT'S WHY IT'S TEEING US UP FOR SOME OF THE
24 DISTRACTIONS OF STEM-CELL-DIRECT-TO-CONSUMER TOURISM
25 THAT ARE PREYING ON THE HOPES OF PATIENTS. BUT ALSO

BETH C. DRAIN, CA CSR NO. 7152

1 ON THE OTHER SIDE, IT'S BECAUSE IT'S JUST STARTING
2 TO MATURE, SO THERE'S AN OPPORTUNITY WHERE WE ARE A
3 FIELD THAT CAN BE THE FIRST-USE CASE FOR THE MODERN
4 ERA OF INFORMATION DISSEMINATION JUST GENERALLY.

5 AND SO THERE IS A LOT OF INTEREST IN THAT
6 CONCEPT FROM KEY STAKEHOLDERS. THE REASON WE
7 BROUGHT THESE FOLKS TOGETHER IS BECAUSE WE KNOW SOME
8 THINGS ARE GERMINATING NOW IN TERMS OF POTENTIAL
9 INITIATIVES, AND WE WANTED TO MAKE SURE WE WERE
10 INFORMED. WE WANTED TO MAKE SURE OUR VOICES WERE
11 HEARD AS WELL. AND THERE'S MUCH MORE DOWNSTREAM.
12 SENATOR TORRES HAS OTHER THINGS THAT HE HAS BEEN
13 REALLY TEEING UP TO MAKE SURE FROM ALL DIFFERENT
14 SECTORS THAT THE FOLKS ARE INFORMED ABOUT THE
15 PROGRESS OF THE FIELD.

16 AND SO IT'S TOO MUCH TO GET INTO TODAY,
17 BUT WE HOPE THAT WE CAN ENGAGE YOU AND OTHER BOARD
18 MEMBERS IN THIS DISCUSSION BECAUSE IT WILL BE REALLY
19 VALUABLE TO BRING YOUR EXPERTISE AND YOUR INPUT INTO
20 THIS.

21 CHAIRMAN THOMAS: DR. BLUMENTHAL.

22 DR. BLUMENTHAL: I THINK IT'S GREAT THAT
23 WE ARE DOING THIS PROCESS. I WAS REALLY GOING TO
24 ASK YOU TO REMIND US WHAT THE TIME FRAME IS AND WHEN
25 WE WILL HAVE FURTHER DISCUSSION OF A MORE REFINED

BETH C. DRAIN, CA CSR NO. 7152

1 PLAN. IS THAT SOMETHING WE WILL BE DISCUSSING IN
2 GREATER DETAIL AT OUR NEXT BOARD MEETING?

3 DR. MILLAN: SO TODAY WHAT WE HOPE TO
4 BRING TO YOU IS THESE THEMES AND A GENERAL SENSE
5 FROM THE BOARD AS TO WHETHER WE ARE ON THE RIGHT
6 TRACK. ONCE WE HAVE KIND OF YOUR DIRECTION ON THIS,
7 WHAT WE WILL DO IS INTERNALLY WE ARE ALREADY POISED
8 AND ORGANIZED TO START WORKING THROUGH THE PROCESS
9 OF BRINGING TANGIBLE MATERIALS TO SHARE WITH THE
10 BOARD SO THAT IN MAY WE CAN GIVE YOU AN UPDATE OF
11 WHERE THINGS ARE SHAPING UP AND POTENTIAL PRIORITY
12 AREAS AND MODELS AND THINGS TO CONSIDER FOR THE
13 VARIOUS THEMES. AND THEN IN OCTOBER WE WOULD BRING
14 A DRAFT STRATEGIC PLAN TO THE BOARD FOR DISCUSSION.
15 AND THEN I BELIEVE IN 2021, SHOULD THERE BE A NEW
16 INITIATIVE, IT WOULD BE BROUGHT TO THE NEW BOARD FOR
17 CONSIDERATION.

18 CHAIRMAN THOMAS: I WOULD JUST ADD TO THAT
19 THAT AS YOU LISTEN TO THESE THEMES AND THE TOPICS
20 DISCUSSED, TO THE EXTENT THAT THERE ARE PARTICULAR
21 SUBJECT MATTERS THAT YOU FIND INTERESTING AND WANTED
22 TO WEIGH IN ON AND HELP WITH THE DEVELOPMENT OF,
23 PLEASE LET US KNOW BECAUSE THE PURPOSE OF THIS IS TO
24 GET AS MUCH BOARD ENGAGEMENT AS POSSIBLE ON THESE
25 THINGS, SIMILAR, FOR EXAMPLE, TO MS. DURON'S

BETH C. DRAIN, CA CSR NO. 7152

1 INTEREST IN THE COMMUNICATIONS. I HEAVILY SUSPECT
2 SHE WILL BE INVOLVED IN THAT GOING FORWARD. BUT
3 OTHERS OF YOU, AS YOU HEAR TOPICS, PLEASE LET US
4 KNOW. DR. MILLAN.

5 DR. MILLAN: MS. DURON HAS BEEN TAGGED AS
6 IN THE ACCESS AREA, FOR INSTANCE, BECAUSE THE IDEA
7 OF EDUCATION AND COMMUNICATION AND ALL THAT IS
8 SOMETHING THAT'S GOING TO BE A HEAVY TOPIC IN THAT
9 AREA. THANK YOU.

10 DR. DULIEGE: MAYBE WE CAN DISCUSS IT
11 OFFLINE DEPENDING ON TIME, BUT COULD YOU GIVE US A
12 FEW EXAMPLES OF WHAT SPECIFICALLY YOU WOULD LIKE THE
13 BOARD MEMBERS TO DO MORE OR DIFFERENTLY COMPARED TO
14 WHAT WE HAVE BEEN DOING SO FAR TO FULFILL YOUR GOALS
15 HERE? A FEW SPECIFIC IDEAS.

16 DR. MILLAN: SO FOR THIS PARTICULAR --

17 DR. DULIEGE: FOR ANY OF THE PILLARS.

18 DR. MILLAN: IF THERE IS SOMETHING THAT
19 SHOWS UP ON THE SCREEN WHERE YOU THINK THIS IS
20 TOTALLY OFF, IT WOULD BE GREAT IF YOU COULD SHARE
21 THAT WITH US. AND IF THERE'S SOMETHING ON THE
22 SCREEN THAT CAPTURES YOUR INTEREST WHERE YOU HAVE
23 HAD IDEAS OF THIS WILL BE SPECTACULAR, BE ABLE TO
24 FIT THIS IN, WE'D LOVE TO HEAR ABOUT IT. AND THEN
25 THE THIRD THING IS IF, IN GENERAL, IT'S A TOPIC OF

BETH C. DRAIN, CA CSR NO. 7152

1 INTEREST TO YOU, PLEASE LET CHAIRMAN THOMAS KNOW
2 BECAUSE HE AND I WILL BE WORKING VERY CLOSELY IN
3 ASSEMBLING INFORMATION TO A POINT THAT WE CAN HAVE
4 THE BOARD INPUT ON THE VARIOUS TOPICS. THANK YOU.

5 SO IF THERE ARE NO ADDITIONAL, ONE OF THE
6 THINGS, AN EXAMPLE OF A MOONSHOT IS, FOR INSTANCE,
7 WE RAN A NEURODEGENERATION WORKSHOP IN APRIL THIS
8 YEAR WHERE WE HAD SOME REAL KEY PLAYERS,
9 FOUNDATIONS, ET CETERA, INVOLVED. AND THERE'S A LOT
10 GOING ON IN THE FIELD, BUT IT'S STILL AN AREA WHERE
11 THERE HAS BEEN LITTLE PROGRESS IN TERMS OF GETTING
12 TREATMENTS FOR ALZHEIMER'S, PARKINSON'S, AND ALS.
13 WE DO HAVE SOME CLINICAL TRIALS.

14 AND THERE IS AN IDEA THAT THERE ARE SOME
15 KIND OF SHARED COMPONENTS SCIENTIFICALLY AS WELL AS
16 RESOURCEWISE WHERE IT MAY BE USEFUL TO HAVE
17 SOMETHING THAT'S MORE COLLABORATIVE. AND IT COULD
18 SPAN THE GAMUT FROM THE BASIC RESEARCH, GENOMICS, TO
19 CLINICAL DATASETS TO REAL-WORLD EVIDENCE, PATIENT
20 EXPERIENCE. IT COULD SPAN THE WHOLE GAMUT, BUT IT
21 WOULD REQUIRE A STRUCTURE BEHIND IT AND WOULD NEED
22 TO BE DELIBERATE, AND IT WOULD NEED TO CREATE KEY
23 PARTNERSHIPS WITH VISIBLE PLAYERS WHO HAVE ALREADY
24 INVESTED QUITE A BIT INTO THIS FIELD AND CREATE
25 PARTNERSHIPS SIMILAR TO WHAT WE'VE DONE WITH NHLBI

BETH C. DRAIN, CA CSR NO. 7152

1 FOR CURE SICKLE CELL, FOR INSTANCE, BUT, AGAIN, WITH
2 DIFFERENT TYPES OF DISEASE AREAS.

3 CHAIRMAN THOMAS: DR. HIGGINS.

4 DR. HIGGINS: FOR DR. MILLAN, DOES THAT
5 FIT IN WITH THE LANGUAGE IN THE NEW PROPOSAL IS \$1.5
6 BILLION OF THE 5.5 BILLION GOES SPECIFICALLY TO
7 NEURO PROGRAMS? IS THAT HOW YOU SEE THAT MONEY
8 BEING SPENT OR TARGETED, TO SORT OF GROUP GRANTS OR
9 CENTER GRANTS OR COLLABORATIVE GRANTS?

10 DR. MILLAN: SO THIS IS WHERE -- IT'S KIND
11 OF TRICKY BECAUSE WE CAN'T DEPEND ON -- SO WE ARE
12 GOING THROUGH THIS EXERCISE PLANNING FOR SUCCESS.
13 AND IT'S TRUE THAT THE NEW INITIATIVE EARMARKS \$1.5
14 BILLION IN NEURO, SO THAT'S VERY VISIBLE. EVEN
15 PRIOR TO THE INITIATIVE COMING OUT, WE DID IDENTIFY
16 NEURODEGENERATION AS AN AREA OF NEED THAT WE HAVEN'T
17 SUCCESSFULLY REALLY MADE SUFFICIENT PROGRESS IN. SO
18 THAT IS THE REASON WE LAUNCHED THE WORKSHOP.

19 SO JUST BECAUSE FOR THE SAME REASON
20 PROBABLY THAT THAT WAS EARMARKED, WE WERE ALREADY
21 THINKING INTERNALLY ABOUT HOW CAN WE BEST LEVERAGE
22 THE RESEARCH THAT'S ALREADY BEEN DONE, THINK ABOUT
23 FUTURE APPROACHES TO THIS THAT CAN EVEN IMPROVE OUR
24 CHANCES OF MAKING PROGRESS.

25 DR. GASSON: IN TERMS OF CONSORTIA, I'M

BETH C. DRAIN, CA CSR NO. 7152

1 SURE YOU'RE PROBABLY AWARE OF THIS, BUT THERE'S A
2 CONSORTIUM OF UNIVERSITIES AND BIOTECH THAT'S CALLED
3 NATIONAL INSTITUTE FOR INNOVATION IN MANUFACTURING
4 AND BIOPHARMACEUTICALS, AND IT GOES BY THE ACRONYM
5 NIIMBL. AND I NOTICED IN A LOT OF YOUR CAP'S --
6 IT'S UNDER THE NATIONAL INSTITUTE OF STANDARDS IN
7 TECHNOLOGY. AND I NOTICED IN A LOT OF YOUR CAP'S,
8 THEY HAD TO DO WITH MANUFACTURING BOTTLENECKS. SO
9 PERHAPS AN INTERACTION OR AT LEAST A CONVERSATION
10 WITH THAT ORGANIZATION WOULD BE USEFUL.

11 DR. MILLAN: THAT IS ABSOLUTELY TRUE. AND
12 WE HAVE INTERACTED WITH NIST AND WITH NIIMBL.

13 DR. GASSON: OH, GOOD.

14 DR. MILLAN: WELL, WE HAVEN'T HAD ANY
15 FORMAL COLLABORATIONS, BUT WE ARE IN THE SAME
16 MEETINGS. WE BOTH ATTEND THE NATIONAL ACADEMY OF
17 SCIENCES, THEY'RE STEM CELL FORUM. DR. SOHEL TALIB
18 IS OUR REPRESENTATIVE THERE, AND MEMBERS OF THE TEAM
19 ATTEND AS WELL. SO WE ARE FAMILIAR WITH THE
20 INITIATIVES AND ALSO OTHER ORGANIZATIONS SUCH AS
21 ARMY. AND SO WE ARE IN CONVERSATIONS WITH ALL OF
22 THESE STAKEHOLDERS, AND WE ARE THINKING THROUGH HOW
23 WE CAN DO THINGS BETTER.

24 DR. GASSON: THAT'S GREAT. THAT WAS MY
25 ONLY POINT.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. MILLAN: THE KEY THING IS
2 STANDARDIZATION, BUT IT'S NOT OFTEN REALISTIC, BUT
3 THERE ARE PRECOMPETITIVE AREAS WHERE WE SEE THAT WE
4 HAVE OPPORTUNITIES. SO ABSOLUTELY. THANK YOU.

5 CHAIRMAN THOMAS: OKAY. PERHAPS ON TO
6 THEME TWO.

7 DR. MILLAN: SO THEME TWO IS BUILD
8 PATHWAYS TO COMMERCIALIZATION. WHILE WE ARE SEEING
9 PROGRESS, AND AS YOU SAW FROM THE CLINICAL REVIEW,
10 WE REALLY DO RELY ON INDUSTRY PARTNERSHIPS TO TAKE
11 IT FROM THE EARLY STAGE WHERE WE DERISK AND SUPPORT
12 THE EARLY SCIENCE TO TAKE IT TOWARD
13 COMMERCIALIZATION BECAUSE THAT'S A HUGE FINANCIAL
14 INVESTMENT AS WELL AS A DIFFERENT SET OF EXPERTISE.
15 SO WE HAVE ALREADY ESTABLISHED AN INDUSTRY ALLIANCE
16 PROGRAM, AND WE HAVE SIX OR SEVEN PARTNERSHIPS WITH
17 SOME KEY STRATEGIC PARTNERS WHO HAVE INVESTED IN THE
18 FIELD AND ARE INTERESTED IN HELPING OUR PROGRAM.

19 BUT WE ALSO SEE INFRASTRUCTURE AND
20 POTENTIAL NEEDS IN AREAS SUCH AS MANUFACTURING IN
21 WAYS WHERE IN AN -- SO CIRM IN A WAY SERVES AS AN
22 INCUBATOR. WE ACCELERATE, WE BRING RESOURCES TO
23 IMPROVE THE CHANCES OF SUCCESS.

24 SO THIS BUILD PATHWAY TO COMMERCIALIZATION
25 IS INTENDED TO BUILD UPON THAT, BUT ALSO TO INCREASE

BETH C. DRAIN, CA CSR NO. 7152

1 THE PROBABILITY OF OUR PROGRAMS MAKING IT OUT THERE.
2 SO WE'VE ALSO DONE, ALREADY STARTED MEETING WITH THE
3 OFFICE OF TECHNOLOGY AND LICENSING FROM THE MAJOR
4 INSTITUTIONS THAT WE FUNDED. I THINK WE HAVE HAD
5 SIX OF THOSE MEETINGS. WE'VE IDENTIFIED AREAS WHERE
6 THINGS ARE SLUGGISH AND, IF WE WORK TOGETHER IN A
7 STRUCTURED WAY WITH THOSE OFFICES, WE BELIEVE THAT
8 WE COULD IMPROVE THE CHANCES OF OUR PROGRAMS MAKING
9 IT OUT THERE AND MAKING IT TO PATIENTS.

10 ANY QUESTIONS ON THAT ONE?

11 CHAIRMAN THOMAS: MARIA, THE NOTION OF
12 TRYING TO HELP FOSTER ACCELERATED REGULATORY
13 APPROVALS, WHERE DOES THAT FIT IN?

14 DR. MILLAN: IT WOULD BE IN THEME ONE
15 BECAUSE IT'S EMBEDDED WITHIN ADVANCING THE SCIENCE.
16 SO THE REGULATORY SCIENCE IS KIND OF RIGHT NOW
17 WITHIN THE DEVELOPMENT PROGRAMS, TYPICALLY THE
18 TRANSLATION AND CLINICAL, BUT IT ALSO IS THROUGHOUT.
19 I THINK THAT ONE OF THE THINGS THAT WILL BECOME
20 APPARENT AS WE GO THROUGH THIS EXERCISE IS WE'LL BE
21 ABLE TO MAKE THOSE LINKAGES MORE VISIBLE IN TERMS OF
22 HOW DOES ONE PLAY INTO THE NEXT WHEN WE CRAFT THE
23 STRATEGIC PLAN. IS THERE SOMETHING SPECIFIC?

24 CHAIRMAN THOMAS: NO. EXCEPT THAT THE
25 PATHWAY TO COMMERCIALIZATION, BY DEFINITION,

BETH C. DRAIN, CA CSR NO. 7152

1 INVOLVES REGULATORY APPROVALS. I JUST THOUGHT THAT
2 IT MIGHT BE SOMETHING THAT WOULD BE WITHIN THIS
3 THEME AS WELL.

4 DR. MILLAN: WELL, THAT'S A REALLY GOOD
5 POINT BECAUSE ONE OF THE REASONS I THINK OUR
6 PROGRAMS HAVE RECEIVED SO MUCH INDUSTRY INVESTMENT
7 IS BECAUSE OF WHAT CIRM HAS DONE FROM DERISKING IT,
8 NOT JUST FROM FINANCIALLY, BUT PUTTING EXPERTISE
9 TOWARD IT AS WELL AS HAVING A TRANSLATIONAL
10 MACHINERY THAT IS DIFFERENT FROM OTHER PLACES. SO
11 THEY KNOW THAT BY THE TIME THEY'RE READY TO MAKE A
12 DECISION TO BRING IT FORWARD THAT SO MUCH OF THIS
13 HAS BEEN DONE. SO BY NATURE, AGAIN, THEY'RE LINKED.
14 BUT THIS IS MORE OF KIND OF THE BUSINESS SIDE OF IT
15 AND HOW WE STRUCTURE. THEY'RE ALL GOING TO BE
16 INTERRELATED. THESE AREN'T MEANT TO BE IMPERMEABLE
17 SILOS BY ANY STRETCH. IN FACT, IT FREE-FLOWS
18 BETWEEN THE DIFFERENT THEMES.

19 SO THE THIRD THEME, THIS IS A THEME THAT
20 WE HAD ALREADY TOUCHED ON EARLIER, INCREASE ACCESS
21 TO PATIENTS. AND SO, OKAY, INCREASING PATIENT
22 AWARENESS, INCREASING COMMUNITY AWARENESS IS A POINT
23 WELL TAKEN. AND ACCESS TO FDA REGULATED AS WELL AS
24 NEWLY APPROVED REGENERATIVE MEDICINE THERAPIES AND
25 REALLY KNOWING WHERE IT FITS INTO THE SCHEME OF THE

BETH C. DRAIN, CA CSR NO. 7152

1 CONTINUUM OF CARE FROM PREVENTIVE TO INTERVENTIONAL
2 AND ONWARD.

3 AND EVIDENCE GENERATION TO SUPPORT
4 REGENERATIVE MEDICINE ADOPTION, THE HEALTHCARE
5 ECONOMICS, AS WELL AS ALL OF THE OTHER THINGS THAT
6 GO INTO IT, HOW DOES IT IMPACT THE LIVES OF PEOPLE?
7 HOW DO YOU CAPTURE THAT? AND HOW IS THAT BROUGHT
8 INTO THE EQUATION? AND ALL OF THIS, BY NECESSITY,
9 WILL NEED DATA AND KNOWLEDGE PLATFORMS TO SUPPORT
10 ACCESS. ONE COULD THINK THIS IS A HUGE THING TO
11 OVERCOME BECAUSE TRYING TO CENTRALIZE DATA IS NOT
12 FOR THE FAINT HEARTED. HOWEVER, WE DO KNOW THAT
13 THERE ARE ALREADY AGGREGATES OF VERY SOPHISTICATED
14 PLATFORMS THAT ARE BEING DEVELOPED. AND THE IDEA IS
15 HOW DO WE BUILD UPON THAT? AND OUR TEAM OFTEN TALKS
16 ABOUT HOW CIRM COULD BE THE CONNECTOR. WE ARE NEVER
17 GOING TO GET EXACTLY STANDARDIZED ANYTHING, BUT
18 THERE NEEDS TO BE A WAY, AND THE TECH WORLD ALREADY
19 KNOWS HOW TO DO THIS, HOW TO BRING DATASETS DOWN TO
20 ITS SMALLEST, RIGHT, SUBCOMPONENTS SO THAT IT CAN BE
21 USEFUL.

22 BUT CIRM, BY NECESSITY, BECAUSE WE ARE
23 DOING IT FOR A SPECIFIC PURPOSE, COULD BE THE
24 CONNECTOR TO BRING IN THE KEY SETS AND BY NATURE
25 THEN CREATES A VALUE THERE. AND THEN THAT IN ITSELF

BETH C. DRAIN, CA CSR NO. 7152

1 BECOMES A NEW KIND OF HUB WHICH THEN COULD BE A
2 SPOKE FOR SOMETHING ELSE. SO THAT'S HOW WE WILL
3 CONNECT WITH THE WORLD. WE ARE NOT HERE IN
4 ISOLATION. THAT'S VERY ABSTRACT, BUT WE HOPE TO
5 BRING SOME MORE CONCRETE STRUCTURE TO THAT AS WE
6 DEVELOP THE PLAN.

7 MS. DURON: CAN I SUGGEST ALSO THE OFFICE
8 OF PLANNING AND RESEARCH IN THE GOVERNOR'S OFFICE
9 WHICH GIVES OUT THE PRECISION MEDICINE AWARDS IS
10 TRYING TO ESTABLISH CONNECTED DATASETS.

11 DR. MILLAN: ABSOLUTELY.

12 MS. DURON: THEY MIGHT BE A PARTNER.

13 DR. MILLAN: WE ACTUALLY HAD INTERACTED
14 WITH SHANNON OR SHARON? SHANNON. SHE AND I WERE
15 BOTH AT A CONFERENCE TOGETHER TO SERVE ON A PANEL.
16 WE INVITED HER TO THAT PANEL THAT WE SPOKE OF. SHE
17 WAS VERY TITILLATED BY THAT EVENING, BY THE WAY, AS
18 WELL AS THE WORLD ECONOMIC FORUM. OKAY. EXCITED.

19 MS. DURON: WORD POLICE.

20 DR. MILLAN: I MEANT REALLY EXCITED ABOUT
21 WHAT SHE HEARD. AND THE WORLD ECONOMIC FORUM
22 PRECISION MEDICINE INITIATIVE WAS THERE AS WELL, AND
23 THEY WERE ALSO EXTREMELY EXCITED. IN FACT, THE
24 REPRESENTATIVE HAD SAID THAT THAT WAS THE BEST EVENT
25 SHE'S EVER ATTENDED AT THE JP MORGAN CONFERENCE IN

BETH C. DRAIN, CA CSR NO. 7152

1 THE PAST TWO YEARS. I'M SURE SHE WAS FINE WITH US
2 SHARING THAT JUST BECAUSE SHE FELT IT WAS SO
3 RELEVANT IN TERMS OF WHAT THEY'RE ALL ABOUT. HOW DO
4 YOU REALLY BRING THIS OUT MORE GLOBALLY? SO THE
5 PROBLEM SETS THAT WE ARE TRYING TO ADDRESS IN THE
6 SMALLEST -- IN OUR CIRCLE IS SOMETHING THAT'S REALLY
7 SCALABLE AND IMPORTANT MORE GLOBALLY.

8 DR. YAMAMOTO: THIS IS GREAT TO HEAR. LET
9 ME JUST SUGGEST THAT BUILDING THIS KIND OF KNOWLEDGE
10 NETWORK THAT YOU'RE TALKING ABOUT IS A PRETTY
11 COMPLEX COMPUTATIONAL UNDERTAKING AND SOMETHING THAT
12 WE'VE INVESTED HEAVILY IN AT UCSF BUILDING A PRETTY
13 ROBUST KNOWLEDGE BASE. AND THE GOOD NEWS ABOUT THIS
14 IS THAT THE WAY THAT I THINK IT NEEDS TO BE BUILT,
15 CERTAINLY THE WAY WE ARE BUILDING IT, IS TO BEGIN
16 WITH SORT OF POCKETS OF EXCELLENCE AND EXISTING DATA
17 AND ALLOW THOSE TO BUILD OUT TO LITTLE NETWORKS
18 USING THE PRINCIPLE THAT WE'VE ESTABLISHED
19 COMPUTATIONALLY, AND THEN CONNECT THOSE TO THE OTHER
20 PROGRAMS THAT WE'VE ALREADY BUILT.

21 SO THERE'S A BIG HEAD START ON THIS NOW.
22 WE MIGHT TALK ABOUT HOW TO JOIN FORCES WITH THE UCSF
23 SIDE.

24 DR. MILLAN: ABSOLUTELY.

25 DR. YAMAMOTO: AND THE CALIFORNIA

BETH C. DRAIN, CA CSR NO. 7152

1 INITIATIVE FOR PHYSICIAN MEDICINE IS JUST BEING
2 REBUILT NOW OUT OF THE OPR. AND I'M ON THE
3 ADVISORY -- JUST PUT ON THE ADVISORY COUNCIL. SO I
4 THINK THIS WOULD BE AN OPPORTUNE TIME TO BE
5 CONNECTING WITH THEM AS WELL. BUT THE UCSF EFFORT
6 IS REALLY UNDER WAY. I THINK THE CURRENT KNOWLEDGE
7 BASE THAT WE HAVE HAS OVER FIVE MILLION INFORMATION
8 DATA NODES OR THREE MILLION DATA NODES AND FIVE
9 MILLION EDGES CONNECTING THEM. SO THERE'S A LOT OF
10 STUFF THERE ALREADY WHICH YOU COULD MOUNT THE CIRM
11 INFORMATION.

12 CHAIRMAN THOMAS: OKAY. PERHAPS WE COULD
13 WRAP UP WITH THEME FOUR.

14 DR. MILLAN: SO THEME FOUR IS MAXIMIZE
15 FUNDING THROUGH OPERATIONAL EXCELLENCE. THAT'S
16 REALLY THE CIRM ENGINE THAT YOU'VE HEARD SO MUCH
17 ABOUT IN TERMS OF BEING ABLE TO ACCELERATE THE WAY
18 WE HAVE AND INCREASE THE PROBABILITY OF PROJECTS
19 MOVING FORWARD AND FUNDING THEM EFFICIENTLY. AND SO
20 THE IDEA -- THIS IS KIND OF A MIXTURE OF STRATEGY,
21 BUT ALSO OPERATIONAL. IF THE NEXT INITIATIVE DOES
22 GO THROUGH, THE INTENT WOULD BE TO REVIVE THE
23 DISCOVERY, TRANSLATIONAL, AND CLINICAL RFA'S AS ONE
24 OF THE FIRST STEPS. AND THEN TO BRING IN THE
25 CONCEPT PROPOSALS TO EITHER REFINE THOSE PROGRAM

BETH C. DRAIN, CA CSR NO. 7152

1 ANNOUNCEMENTS OR ADD TO THEM AND THEN BRING IN
2 ADDITIONAL AS THINGS GO FORWARD.

3 SO WE JUST WANTED TO BRING THAT TO YOU TO
4 MAKE SURE THAT YOU AGREE THAT THAT WOULD BE ONE OF
5 THE FIRST THINGS TO DO.

6 AND THEN THEREAFTER, THE IDEA IS THAT WE
7 WOULD LOOK AT WHAT OUR CURRENT PROGRAM ANNOUNCEMENT
8 RFA STRUCTURE LOOKS LIKE AND SCHEDULING JUST KIND OF
9 THE OPERATIONS BEHIND THAT AND LOOK FOR HOW THIS
10 COULD FIT A CONSORTIUM APPROACH. SO THINGS LIKE
11 WHAT KIND OF REQUIREMENTS WOULD WE HAVE, OUR DATA
12 SHARING REQUIREMENTS, FOR INSTANCE, OR HOW DO WE
13 EMBED IN IT CONNECTIVITY BETWEEN THE DIFFERENT
14 PROGRAMS, HOW WOULD THE PROGRAM ANNOUNCEMENTS CHANGE
15 TO ACCOMMODATE THAT.

16 OPERATIONALIZE FUNDING PARTNERSHIPS. WE
17 ALREADY HAVE HAD THE BENEFIT OF A PILOT PROGRAM WITH
18 THE NHLBI CURE SICKLE CELL. HOW ADAPTABLE IS THAT
19 TO DIFFERENT TYPES OF PARTNERSHIPS WITH OTHER
20 FOUNDATIONS? SHOULD THAT BE SOMETHING THAT WE THINK
21 COULD BE USEFUL FOR THE STRATEGIC PLAN?

22 AND THEN THE OTHER PARTS OF IT ARE JUST
23 REALLY REFINING OUR OWN INTERNAL OPERATIONS TO SCALE
24 UP FOR WHAT WOULD BE REQUIRED FOR A NEW INITIATIVE
25 AND FOR THE NEW PROGRAMS. AND THAT, OF COURSE,

BETH C. DRAIN, CA CSR NO. 7152

1 WOULD INVOLVE THE I.T. ENHANCEMENTS WHERE I'M TOLD
2 WE ARE 100 PERCENT IN THE CLOUD NOW. WE ARE NOT IN
3 THE CLOUDS, BUT OUR I.T. IS IN THE CLOUD. AND THAT
4 IS SOMETHING THAT COULD BE EXPANDED, BUT HOW DOES
5 THAT PLAY INTO HOW WE ARE VIEWING OTHER INITIATIVES
6 SUCH AS THE DATA COMPONENTS OR THE COMMUNICATION AND
7 EDUCATION COMPONENTS? HOW DO WE BUILD THAT IN SO
8 THAT IT'S SEAMLESS AND IT ACTUALLY FLOWS THROUGH A
9 PROCESS SO THAT IT'S NOT DISJOINTED DIFFERENT
10 PROGRAMS? SO THIS IS A PERFECT OPPORTUNITY TO START
11 LOOKING AT THOSE COMPONENTS.

12 ANY COMMENTS OR QUESTIONS ON THAT? THOSE
13 ARE KIND OF THE NUTS AND BOLTS ACTUALLY, THIS THEME
14 FOUR, BUT IT'S EXTREMELY IMPORTANT. THAT'S HOW
15 WE'VE BEEN ABLE TO DELIVER ON SOME OF THE PROJECTS
16 AS WE TALKED ABOUT TODAY. OKAY. CHAIRMAN THOMAS.

17 CHAIRMAN THOMAS: THANK YOU, DR. MILLAN.
18 SO WE MAY, IN ADDITION TO YOU DECIDING THERE'S
19 CERTAIN THINGS OF INTEREST THAT YOU'D LIKE TO
20 VOLUNTEER ON TO DISCUSS GOING FORWARD BETWEEN NOW
21 AND MAY, WE MAY REACH OUT TO YOU TO ASK YOU TO FOCUS
22 ON SPECIFIC ELEMENTS HERE. I THINK THERE'S A LOT OF
23 EXPERTISE HERE, OBVIOUSLY, THAT COULD BE VERY USEFUL
24 IN THE FORMULATION OF THIS PLAN. SO STAY TUNED.

25 OKAY. THAT BRINGS US TO THE END OF OUR

BETH C. DRAIN, CA CSR NO. 7152

1 AGENDA. WE ARE NOW AT PUBLIC COMMENT. IS THERE ANY
2 PUBLIC COMMENT ON ANY TOPIC OF ANY NOTE EITHER HERE
3 OR MEMBERS OF THE PUBLIC ON THE PHONE? HEARING
4 NONE, I THINK WE HAVE CONCLUDED THIS MEETING. THANK
5 YOU FOR ALL THE MEMBERS OF THE TEAM WHO HAVE WORKED
6 VERY HARD TO PREPARE TODAY'S PRESENTATION AND WHO
7 DELIVERED -- DR. BURTIS.

8 DR. BURTIS: YOU MENTIONED EARLIER YOU
9 WERE GOING TO TAKE A BRIEF MOMENT OF SILENCE TO
10 REMEMBER LAUREN'S MOTHER.

11 CHAIRMAN THOMAS: I WAS GOING TO DO THAT
12 AFTER THE PUBLIC COMMENT. VERY TAIL END HERE.

13 MS. BONNEVILLE: OUR NEXT BOARD MEETING IS
14 MAY 7TH, AND IT'S IN PERSON HERE. CAN'T WAIT TO SEE
15 YOU ALL AGAIN.

16 CHAIRMAN THOMAS: YES. THAT'S VERY
17 IMPORTANT. THE IN-PERSON ELEMENT OF THIS, TO THE
18 FULL EXTENT POSSIBLE, IS EXTREMELY IMPORTANT. SO
19 THANK YOU, MARIA.

20 NO PUBLIC COMMENTS. WITH THAT, THEN WE'D
21 LIKE TO ADJOURN TODAY'S MEETING IN MEMORY OF LAUREN
22 MILLER'S MOTHER. THANK YOU VERY MUCH. WE ARE
23 ADJOURNED.

24 (THE MEETING WAS THEN ADJOURNED.)

25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

1999 HARRISON STREET
SUITE 1650
OAKLAND, CALIFORNIA
ON
FEBRUARY 6, 2020

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO 83864
(208) 255-5453